

**BETH C. DRAIN, CA CSR NO. 7152**

BEFORE THE  
TASK FORCE ON NEUROSCIENCE AND MEDICINE  
OF THE  
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE  
TO THE  
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT  
REGULAR MEETING

LOCATION: VIA ZOOM

DATE: FEBRUARY 21, 2023  
12 P.M.

REPORTER: BETH C. DRAIN, CA CSR  
CSR. NO. 7152

FILE NO.: 2023-07

**133 HENNA COURT, SANDPOINT, IDAHO 83864  
208-920-3543 DRAIBE@HOTMAIL.COM**

**BETH C. DRAIN, CA CSR NO. 7152**

**I N D E X**

<b>ITEM DESCRIPTION</b>	<b>PAGE NO.</b>
<b>OPEN SESSION</b>	
1. CALL TO ORDER	3
2. ROLL CALL	3
<b>DISCUSSION ITEMS</b>	
3. STATEMENT OF TASK	5
4. IDENTIFICATION OF POSSIBLE ELEMENTS OF A PLAN TO ACCELERATE BASIC OR CLINICAL NEUROSCIENCE DR. CREASEY DR. PATEL	6 32
{5. IDENTIFICATION OF EXTERNAL EXPERTS TO PROVIDE TESTIMONY AT FUTURE MEETINGS	54
{6. DEVELOPMENT OF TASK FORCE MEETING PLAN AND FUTURE AGENDAS	
{7. CONSIDERATION OF NEXT TASK FORCE MEETING	
8. PUBLIC COMMENT	NONE
9. ADJOURNMENT	63



**BETH C. DRAIN, CA CSR NO. 7152**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

TUESDAY, FEBRUARY 21, 2023; 12 P.M.

CHAIRMAN GOLDSTEIN: SO, MARIANNE, IS THIS EVERYBODY WE EXPECT NOW?

MS. DEQUINA-VILLABLANCA: THERE IS TWO MORE THAT I'M EXPECTING; BUT IF YOU WANT TO PROCEED, WE CAN GO AHEAD.

CHAIRMAN GOLDSTEIN: I THINK WE SHOULD GET GOING.

THANK YOU, EVERYBODY, FOR JOINING US THIS MORNING AND THIS AFTERNOON TO TALK ABOUT HOW TO GO ABOUT PLANNING FOR THE ONE AND A HALF BILLION NEURO SET ASIDE. BEFORE WE DO ANYTHING SUBSTANTIVE, MARIANNE, CAN YOU CALL THE ROLL PLEASE.

MS. DEQUINA-VILLABLANCA: SURE. LEONDR CLARK-HARVEY. MARIA BONNEVILLE.

VICE CHAIR BONNEVILLE: PRESENT.

MS. DEQUINA-VILLABLANCA: MARK FISCHER-COLBRIE.

VICE CHAIR BONNEVILLE: I SEE HIM CONNECTING TO AUDIO RIGHT NOW. SO YOU MIGHT WANT TO COME BACK TO HIM.

MS. DEQUINA-VILLABLANCA: FRED FISHER.

VICE CHAIR BONNEVILLE: HE IS ALSO CONNECTING TO AUDIO.

**BETH C. DRAIN, CA CSR NO. 7152**

1 MS. DEQUINA-VILLABLANCA: WE'LL COME BACK.  
2 JUDY GASSON.  
3 DR. GASSON: HERE.  
4 MS. DEQUINA-VILLABLANCA: LARRY GOLDSTEIN.  
5 CHAIRMAN GOLDSTEIN: I'M HERE.  
6 MS. DEQUINA-VILLABLANCA: DAVID HIGGINS.  
7 DR. HIGGINS: HERE.  
8 MS. DEQUINA-VILLABLANCA: STEVE  
9 JUELSGAARD. PAT LEVITT.  
10 DR. LEVITT: HERE.  
11 MS. DEQUINA-VILLABLANCA: LAUREN  
12 MILLER-ROGEN.  
13 MS. MILLER-ROGEN: HERE.  
14 MS. DEQUINA-VILLABLANCA: AL ROWLETT.  
15 MR. ROWLETT: HERE.  
16 MS. DEQUINA-VILLABLANCA: MARVIN SOUTHARD.  
17 DR. SOUTHARD: HERE.  
18 MS. DEQUINA-VILLABLANCA: JONATHAN THOMAS.  
19 DR. THOMAS: HERE.  
20 MS. DEQUINA-VILLABLANCA: KEITH YAMAMOTO.  
21 MARK FISCHER-COLBRIE.  
22 DR. FISCHER COLBRIE: HERE.  
23 MS. DEQUINA-VILLABLANCA: FRED FISHER.  
24 DR. FISHER: HERE.  
25 MS. DEQUINA-VILLABLANCA: AND YOU DO HAVE

**BETH C. DRAIN, CA CSR NO. 7152**

1 A QUORUM, LARRY.

2 CHAIRMAN GOLDSTEIN: GOOD. ALL RIGHT.

3 THANK YOU, EVERYBODY.

4 SO WE POSTED THE AGENDA. THERE WILL BE A  
5 SLIGHT CHANGE IN THE ORDER. WE'LL TALK ABOUT  
6 EXTERNAL EXPERTS, WHICH IS AGENDA ITEM NO. 5, A  
7 LITTLE BIT AFTER TALKING ABOUT HOW WE INTEND TO  
8 PROCEED.

9 I WANT TO START US OFF WITH THE MOST  
10 ACCURATE STATEMENT OF TASK THAT WE HAVE, WHICH IS  
11 I'M JUST GOING TO READ A VERY SHORT SECTION FROM  
12 PROP 14 WHICH LAYS OUT WHAT WE'RE GOING TO BE  
13 THINKING ABOUT TODAY AND IN THE FUTURE. AND SO THE  
14 LANGUAGE GOES LIKE THIS: "DEDICATING \$1.5 BILLION  
15 FOR THE SUPPORT OF RESEARCH AND THE DEVELOPMENT OF  
16 TREATMENTS FOR DISEASES AND CONDITIONS OF THE BRAIN  
17 AND CENTRAL NERVOUS SYSTEM, SUCH AS ALZHEIMER'S  
18 DISEASE, PARKINSON'S DISEASE, STROKE, DEMENTIA,  
19 EPILEPSY, DEPRESSION, BRAIN CANCER, SCHIZOPHRENIA,  
20 AUTISM, AND OTHER DISEASES AND CONDITIONS OF THE  
21 BRAIN."

22 SO THAT'S A PRETTY BROAD MANDATE. THAT'S  
23 BASICALLY ALL OF THE NEURO DISEASES AND CONDITIONS  
24 THAT WE'LL NEED TO BE THINKING ABOUT.

25 WHAT I WANT TO DO BEFORE WE START

**BETH C. DRAIN, CA CSR NO. 7152**

1 DISCUSSING PLANS IS I'VE ASKED SOME OF THE CIRM TEAM  
2 TO ASSEMBLE CURRENT PORTFOLIOS OF WHERE WE ARE BOTH  
3 WITH CIRM FUNDING AND WITH INDUSTRY AT LARGE IN  
4 CALIFORNIA AND BEYOND SO THAT WE GET AN IDEA OF  
5 WHERE MIGHT THERE BE HOLES, WHERE MIGHT WE WANT TO  
6 RECOMMEND MORE OR LESS EFFORT FROM CIRM, WHAT HAVE  
7 YOU.

8 AND SO THE FIRST PART OF PORTFOLIO REVIEW  
9 THAT I'D LIKE TO HAVE US LOOK AT IS A SUMMARY OF  
10 CIRM'S FUNDING PORTFOLIO SO WE KNOW WHAT WE HAVE  
11 DONE AND WHAT WE HAVE MISSED. AND ABLA IS GOING TO  
12 COVER THIS. SHE HAS A POWERPOINT PRESENTATION THAT  
13 WAS ALSO POSTED ON THE WEB. ABLA, ARE YOU IN ONE OF  
14 THESE GROUPS?

15 MS. DEQUINA-VILLABLANCA: SHE'S HERE IN  
16 THE ROOM, LARRY.

17 CHAIRMAN GOLDSTEIN: I HAD A MOMENT OF  
18 PANIC THERE. OKAY. GREAT. ABLA, TAKE IT AWAY  
19 PLEASE.

20 DR. CREASEY: THANK YOU, LARRY. THANK  
21 YOU, EVERYONE. I'M GOING TO PRESENT THE TRAN AND  
22 CLINICAL NEURO PORTFOLIO AS AN UPDATE FOR THE TASK  
23 FORCE. SO THE PRESENTATION OVERVIEW, WHICH YOU  
24 UNDOUBTEDLY HAVE SEEN, JUST COVERS A VARIETY OF  
25 TOPICS. CIRM NEUROLOGY CLASSIFICATION GLOSSARY JUST

**BETH C. DRAIN, CA CSR NO. 7152**

1 TO PUT US ALL ON THE SAME PAGE. THE CURRENT CIRM  
2 TRAN AND CLINICAL NEUROLOGY PORTFOLIO. CIRM  
3 NEUROLOGY AWARDS WITH THE PROGRESSION EVENTS  
4 DEVELOPMENTS. AND I'LL DESCRIBE THAT IN DETAIL WHEN  
5 I SHOW THAT SLIDE. AND NEUROLOGY LANDSCAPE WITH  
6 CLINICAL HIGHLIGHTS. ESPECIALLY WHAT'S BEEN  
7 APPROVED IN THE AREA OF NEUROLOGY CELL AND GENE  
8 THERAPIES. OUR PENDING FDA DECISIONS REGARDING  
9 NEUROLOGY DRUGS THAT WE HAVE OBSERVED THROUGH THE  
10 FDA WEBSITE AND OTHERWISE. AND THEN NEUROLOGY  
11 CLINICAL TRIALS, SHAPING MEDICINE IN 2023 JUST TO  
12 GET EVERYONE EXCITED THAT NEUROLOGY CONTINUES TO BE  
13 ON THE FOREFRONT. FINALLY, CIRM KEY FINDINGS.

14 SO I'M NOT GOING TO GO OVER THE NEUROLOGY  
15 CLASSIFICATION GLOSSARY IN DETAIL, JUST TO SHOW YOU  
16 THAT WE ARE AWARE THAT THE WHOLE AREA CAN BE  
17 CLASSIFIED DIFFERENTLY, BUT THIS IS THE WAY THAT THE  
18 DISCOVERY TEAM, TRANSLATION, AND CLINICAL AGREED TO  
19 CLASSIFY THE GRANTS THAT WE HAVE BEEN RECEIVING FOR  
20 NEURODEGENERATION DISORDERS. AND YOU CAN READ THE  
21 DISEASE, SUCH AS ALZHEIMER'S, PARKINSON'S, ET  
22 CETERA. THE NEUROPSYCHIATRIC DISORDERS, SUCH AS  
23 SCHIZOPHRENIA, SLEEP/WAKE DISORDERS, ET CETERA.

24 AND THEN NEURODEVELOPMENTAL DISORDERS SUCH  
25 AS AUTISM, STEREOTYPIC MOVEMENT DISORDER, ET CETERA.

**BETH C. DRAIN, CA CSR NO. 7152**

1 AND THE REST ARE CLEAR. TRAUMATIC BRAIN INJURIES,  
2 SOME EYE DISEASE, ESPECIALLY THE RETINA AND OPTIC  
3 NERVE, DISEASES THAT AFFECT OTHER SENSORY INPUTS,  
4 SUCH AS HEARING LOSS. STRUCTURAL DISORDERS SUCH AS  
5 SPINAL CORD INJURY. FUNCTIONAL DISORDERS SUCH AS  
6 EPILEPSY, DIZZINESS, NEURALGIA. CANCERS OF THE  
7 BRAIN THAT AFFECT THE BRAIN AND THE CENTRAL NERVOUS  
8 SYSTEM. AND WE HAVE ACTUALLY A WHOLE LIST OF THE  
9 BRAIN AND CNS RECORDED IN THE GMS THAT WE HAVE  
10 FOLLOWED OVER THE YEARS. BUT, AGAIN, BY NO MEANS WE  
11 CAN SAY THAT EVERYTHING ON THIS LIST IS INCLUDED IN  
12 OUR GRANTS, AND WE WELCOME INPUT FROM OTHERS IF WE  
13 ARE MISSING ANY.

14 SO THE CURRENT TRANSLATION AND CLINICAL  
15 PORTFOLIO, WHICH IS PRESENTED ON THIS SLIDE,  
16 INCLUDES THE PRE-IND MEETING PREPARATION, WHICH IS  
17 IN THE TRAN STAGE; IND-ENABLING, WHICH IS OUR CLIN1,  
18 AND EARLY CLINICAL; AND THEN MID AND PIVOTAL-LATE  
19 CLINICAL.

20 WHAT I'D LIKE TO ACCENTUATE HERE IS THAT  
21 NEURO REPRESENTS 35 PERCENT OF OUR PORTFOLIO IN ALL  
22 THESE AREAS, WHICH IS QUITE A SUBSTANTIAL NUMBER.

23 SO I'M GOING TO RUN BY YOU ALSO ON THE  
24 TRAN AND CLINICAL STAGE AWARDS IN NEUROLOGY IN A  
25 LITTLE MORE DETAILS PER THIS PIE CHART. WE START

**BETH C. DRAIN, CA CSR NO. 7152**

1 OUT WITH BRAIN CANCER, WHICH COVERS VARIOUS GLIOMAS,  
2 INCLUDING GLIOBLASTOMA AND BREAST CANCER BRAIN  
3 METASTASES. THEN OCULAR DISEASE, RETINITIS  
4 PIGMENTOSA AND AGED-RELATED MACULAR DEGENERATION.  
5 THEN WE HAVE ALS. AND YOU CAN TELL BY EACH OF THE  
6 CATEGORIES, WE PUT THE NUMBER OF GRANTS THAT ARE IN  
7 THAT AREA. FOR EXAMPLE, I WAS SAYING IN BRAIN  
8 CANCER, WE HAVE 15 OF THEM. IN OCULAR DISEASE WE  
9 HAVE 14. ALS WE HAVE SEVEN. PARKINSON'S DISEASE WE  
10 HAVE SEVEN GRANTS. AND ALZHEIMER'S DISEASE WE HAVE  
11 SIX. MONOGENIC DISEASES WE HAVE SIX, INCLUDING  
12 TAY-SACHS DISEASE, CANAVAN'S DISEASE, FRIEDREICH'S  
13 ATAXIA, AND PITT-HOPKINS DISEASE. HUNTINGTON'S  
14 DISEASE WE HAVE FIVE. AND WE HAVE EPILEPSY TWO.  
15 AND THEN IN NEUROTRAUMA WE ACTUALLY HAVE A LARGE  
16 NUMBER, 16 OF THEM, INCLUDING STROKE, SPINAL CORD  
17 INJURY. AGAIN, AS I POINTED OUT IN THE GLOSSARY OR  
18 CLASSIFICATION, TRAUMATIC BRAIN INJURY, NEONATAL  
19 BRAIN HYPOXIA, AND SPINA BIFIDA.

20 THIS SLIDE ALSO CLARIFIES THE GRANTS BASED  
21 ON NUMBER OF NEURO AWARDS IN TRAN VERSUS CLIN1 AND  
22 CLIN2. AND A TYPICAL IN THE THERAPEUTIC AREA IS THE  
23 GRANTS WE RECEIVE ARE DEPENDENT REALLY ON THE  
24 ADVANCEMENT OF THE SCIENCE IN THAT AREA. SO IN THE  
25 TRAN AREA, WE HAVE HAD OVER 25 GRANTS INCLUDED.

**BETH C. DRAIN, CA CSR NO. 7152**

1 CLIN1 WE HAVE LESS, AND THEN IN CLIN2, WHICH IS  
2 CLINICAL TRIAL STAGE, WE ARE CLOSER TO THE NUMBER  
3 THAT WE SEE IN TRAN. AND SOME OF THESE GRANTS, AS  
4 I'LL SHOW YOU LATER, HAVE PROGRESSED FROM TRAN TO  
5 CLIN1 TO CLIN 2.

6 SO THE ACTIVE TRAN AND CLIN STAGE AWARDS  
7 CURRENTLY IN NEUROLOGY INCLUDE SEVEN IN OCULAR, SIX  
8 IN BRAIN CANCER, SIX IN NEUROTRAUMA, TWO IN ALS, TWO  
9 PARKINSON'S DISEASE, ONE HUNTINGTON'S DISEASE, AND  
10 ONE EPILEPSY. THE TOTAL AWARDS ARE 25. AND, AGAIN,  
11 THE PREVIOUS SLIDES I SHOWED THE CLOSED AND ACTIVE.  
12 NOW IT'S MAINLY ACTIVE.

13 ALSO, THE NEUROLOGY AWARDS BY STAGE AND  
14 INDICATION ARE SHOWN ON THIS SLIDE. YOU CAN TELL --  
15 SO THE TRAN ARE IN THE ORANGE COLOR, CLIN1 IS IN THE  
16 LIGHT BLUE, AND THE CLIN2 IS IN THE DARK BLUE.

17 WE'RE AIMING TO MOVE AS MANY OF THESE  
18 PROGRAMS FROM THE TRAN STAGE, THE TRAN COLOR, TO  
19 CLINICAL TRIALS. AND YOU SEE HERE THAT, AGAIN, WE  
20 HAVE A SUBSTANTIAL NUMBER THAT ARE BRAIN CANCER, IN  
21 OCULAR MORE IN CLIN2 AND TRAN THAN CLIN1, AS I  
22 SHOWED YOU BEFORE. INTERESTINGLY ENOUGH, IN ALS WE  
23 HAVE MORE IN CLIN2 THAN IN TRAN. HUNTINGTON'S  
24 DISEASE, EQUIVALENT, MEANING TRAN, CLIN1, AND CLIN2.  
25 THEN WITH ALZHEIMER'S DISEASE, WE MAINLY HAVE TRAN.

**BETH C. DRAIN, CA CSR NO. 7152**

1 PARKINSON'S WE HAVE EQUAL NUMBER IN CLIN1 AND CLIN2.

2 I JUST WANTED TO DRAW YOUR ATTENTION TO  
3 THE NEUROTRAUMA AREA WHERE WE HAVE A LARGE NUMBER IN  
4 TRAN AND A GOOD NUMBER IN CLIN2 AND A SMALLER NUMBER  
5 IN CLIN1.

6 PROCEEDED TO SHARE WITH YOU THE ACTUAL  
7 ACTIVE NEUROLOGY TRIALS THAT ARE PHASE 1. AS OUR  
8 MISSION SPEAKS TOWARDS ADVANCING PROGRAMS TOWARDS  
9 POTENTIAL APPROVAL, IT'S IMPORTANT THAT WE RECOGNIZE  
10 HOW MANY OF THOSE PROGRAMS ARE IN PHASE 1 TRIAL AND  
11 WHERE THEY'RE HEADING TO PHASE 2 OR 3.

12 SO THIS SLIDE SHOWS THAT REALLY, AGAIN, WE  
13 HAVE 11 OF THOSE PHASE 1 TRIALS IN DIFFERENT DISEASE  
14 INDICATIONS RANGING FROM ALS TO, AGAIN, GBM TO  
15 PEDIATRIC GLIOMA, STROKE, ET CETERA. AND YOU CAN  
16 FOLLOW, SOME OF THOSE PHASE 1 TRIALS ARE AT  
17 CEDARS-SINAI WHICH HAS ONE OF OUR NEWEST ALPHA  
18 CLINICS, UC DAVIS, CITY OF HOPE WITH AT LEAST THREE  
19 OF THEM.

20 AND THE LAST ONE YOU SEE HERE IS RETINITIS  
21 PIGMENTOSA, WHICH IS, AGAIN, BEING CONDUCTED -- THE  
22 TRIAL IS BEING DONE ALSO AT CEDARS-SINAI.

23 THE NEUROLOGY TRIALS IN PHASE 2 AND 3 ARE  
24 QUITE SMALL. SO MEANING THERE'S A SMALL NUMBER OF  
25 THEM. THERE ARE TWO OPHTHALMOLOGY ONES, AND BOTH OF

**BETH C. DRAIN, CA CSR NO. 7152**

1 THEM ARE COMPLETED AND CLOSED. AND THEN THERE'S ONE  
2 IN PHASE 3, WHICH WAS ALSO COMPLETED AND CLOSED.  
3 THEY WERE ALL RUN AT UCI AS THE ALPHA CLINIC.

4 WHAT WE WANTED TO GET YOU TO SEE IS THE  
5 FACT THAT MANY OF OUR GRANTS ACTUALLY START OUT IN  
6 DISC, GO TO TRAN, THEN TO CLIN1, THEN TO CLIN2.  
7 AND THIS IS A TRIBUTE TO THE FACT THAT THESE  
8 SCIENTISTS AND CLINICIANS CONTINUE TO ADVANCE THEIR  
9 PROGRAMS WITH OUR DOLLARS AND ENTHUSIASM FOR KEEPING  
10 THESE PROGRAMS GOING.

11 SO WE HAVE HERE A TON OF THEM THAT ARE  
12 MOVING FROM DISC TO CLIN2 OR HAVE MOVED TO CLIN2.  
13 SOME MAY HAVE HAD ALREADY, LIKE DR. KLASSEN HAS HAD  
14 TWO CLIN2S ALREADY IN FOR THE SAME INDICATION.

15 SO THIS CONCLUDES WHAT THE CIRM PORTFOLIO  
16 LOOKS LIKE, BUT I WANTED TO SHOW YOU THE APPROVED  
17 NEUROLOGY CELL AND GENE THERAPIES PER THE OUTLINE I  
18 DESCRIBED EARLIER. THERE ARE DRUGS THAT ARE ON THE  
19 MARKET ALREADY FOR LUXTURNA FOR LEBER CONGENITAL  
20 AMAUROSIS, WHICH IS A RETINAL DISEASE, THAT WAS  
21 APPROVED IN 2017. ACTUALLY SPARK THERAPEUTICS LED  
22 THE WHOLE AREA OF LEBER CONGENITAL AMAUROSIS, WHICH  
23 IS A FORM OF RETINITIS PIGMENTOSA. AND IT HAS  
24 UNIQUE FEATURES IN THE SENSE THEY GOT THE DRUG  
25 APPROVED BASED ON THE PATIENT'S NEED FOR EYESIGHT IN

**BETH C. DRAIN, CA CSR NO. 7152**

1 THE DARK. AND THEY WERE THEN ABLE TO MANEUVER A  
2 MOBILITY TEST IN ORDER TO ADVANCE THE PROGRAM  
3 FORWARD TO APPROVAL. AND THAT WAS THE KEY ENDPOINT  
4 THAT WAS USED IN GETTING THAT PROGRAM APPROVED.

5 SO ZOLGENSMA IS FOR SMA, WHICH IS SPINAL  
6 MUSCULAR ATROPHY. IT WAS APPROVED IN 2019. AND I'M  
7 SURE YOU'VE OFTEN SEEN PHOTOS OF THE LITTLE GIRL  
8 RUNNING AROUND WHO'S BEEN TREATED WITH THIS GENE  
9 THERAPY MODALITY AND HAS DONE VERY WELL AS A POSTER  
10 CHILD OF THAT EFFORT.

11 THEN WE HAVE LIBMELDY, WHICH IS AN EX VIVO  
12 HASPC GENE THERAPY FOR METACHROMATIC LEUKODYSTROPHY,  
13 AND THAT WAS APPROVED IN 2020. IT WAS APPROVED IN  
14 THE EU, BUT U.S. FILING IS PLANNED FOR THIS YEAR.

15 UPSTAZA FOR AROMATIC L-AMINO ACID  
16 DECARBOXYLASE DEFICIENCY, WHICH WAS APPROVED IN  
17 2022. THERE'S A COUPLE MORE THAT I JUST READ ABOUT,  
18 BUT THIS GIVES YOU A FEELING FOR THE FIELD IS  
19 ADVANCING AND ADVANCING WELL WITH A NUMBER OF  
20 INDICATIONS. BUT SOME OF THESE, IF NOT MOST OF  
21 THESE, ARE RARE DISEASE INDICATIONS. THAT'S  
22 IMPORTANT TO HIGHLIGHT BECAUSE RARE DISEASES ARE  
23 LEADING THE WAY AS EITHER MONOGENIC DISEASES AND  
24 POSTER CHILDS FOR GENE THERAPY MODALITIES. SO IT'S  
25 EASIER TO IDENTIFY WHETHER THE TRIAL ACTUALLY WAS

**BETH C. DRAIN, CA CSR NO. 7152**

1 SUCCESSFUL OR NOT BASED ON THE OUTCOME OF THE STUDY  
2 AND THE ENDPOINTS THAT ARE USED. AND THEY HAVE A  
3 RELATIVELY SMALL NUMBER OF PATIENTS. AND ALSO FDA  
4 HAS BEEN ADVANCING MANY OF THOSE PROGRAMS MUCH MORE  
5 EASILY USING THE ACCELERATED APPROVAL PATHWAY,  
6 ESPECIALLY IF THEY HAVE ANY KIND OF A BIOMARKER.

7 THERE ARE FIVE PENDING FDA DECISIONS IN  
8 NEUROLOGY FOR 2023. THE ONE THAT I HIGHLIGHTED IS  
9 THE FRIEDRICH ATAXIA, WHICH IS THE FIRST TREATMENT  
10 FOR FRIEDRICH ATAXIA, WHICH IS, AGAIN, A RARE  
11 DEGENERATIVE NEUROMUSCULAR DISORDER. THE RETT  
12 SYNDROME DRUG FOR, AGAIN, A RARE GENETIC DISORDER OF  
13 BRAIN DEVELOPMENT. THEN THE SOD1 ALS FOR BIOGEN,  
14 WHICH IS FIRST TARGETED FOR THERAPY FOR SOD1  
15 MEDIATED ALS. THEN PARKINSON'S DISEASE WITH AMNEAL  
16 PHARMACEUTICALS. AGAIN, THE FEATURE THAT'S VERY  
17 EXCITING ABOUT THIS DRUG IS THE CARBIDOPA/LEVODOPA  
18 COMBINATION, WHICH IS THE FORMULATION THAT MADE THIS  
19 DRUG POSSIBLE, WHICH IS AN EXTENDED RELEASE CAPSULE.  
20 SO THE DELIVERY MECHANISM, THE FORMULATION MADE THE  
21 DRUG A SUCCESS.

22 AND THEN THE CERVICAL DYSTONIA, WHICH IS  
23 NOVEL BOTULINUM TOXIN TYPE A FORMULATION. THIS IS,  
24 AGAIN, FOR CERVICAL DYSTONIA WITH A PDUFA DATE OF  
25 AUGUST 19, 2023. AND THIS SPEAKS TO A THEME THAT

**BETH C. DRAIN, CA CSR NO. 7152**

1 LARRY HAS TALKED TO US ABOUT IN THE PAST, WHICH IS  
2 REPURPOSING DRUGS IN ORDER TO ACHIEVE A NEW  
3 INDICATION AND SUCCESS FOR TREATING PATIENTS WHO  
4 HAVE UNMET MEDICAL NEED.

5 I'M NOT GOING TO GO OVER THIS LAST SLIDE,  
6 BUT I WANT TO CUE YOU TO BE AWARE THAT PER *NATURE*  
7 ARTICLE, THERE ARE 11 CLINICAL TRIALS THAT WILL  
8 SHAPE MEDICINE IN 2023 AND MAINLY HIGHLIGHT TWO OF  
9 THEM. PARKINSON'S DISEASE, POTENTIAL APPROVAL FOR A  
10 DRUG CALLED EXENATIDE. AND THIS IS EXCITING IF IT  
11 GETS APPROVED. AND THEN ALSO EISAI/BIOGEN ANTIBODY  
12 FOR ALZHEIMER'S DISEASE. AND THERE IT'S ON THE  
13 ACCELERATED APPROVAL PATH.

14 AND SO WITH THAT, I THINK I WILL CONCLUDE  
15 BY OUR KEY FINDINGS, MEANING THAT, AGAIN TO  
16 REITERATE, NEURO COMPOSES ABOUT 35 PERCENT OF THE  
17 TRANSLATION AND CLINICAL PORTFOLIO. ALL ACTIVE  
18 NEURO TRIALS, 11 OUT OF 11, ARE IN PHASE 1 EARLY  
19 CLINICAL DEVELOPMENT. SEVERAL ARE IN RARE DISEASES,  
20 AND THAT'S CONSISTENT WITH MY EARLIER STATEMENT AND  
21 CONSISTENT WITH THE FIELD. WITH THAT, I'LL STOP AND  
22 HAPPY TO ENTERTAIN ANY QUESTIONS.

23 CHAIRMAN GOLDSTEIN: SO THANK YOU VERY  
24 MUCH, ABLA. THAT'S EXTREMELY INFORMATIVE.

25 BEFORE WE OPEN IT UP GENERALLY, I JUST

**BETH C. DRAIN, CA CSR NO. 7152**

1 WANT TO KICK OFF THE QUESTIONS WITH SO I KNOW THAT  
2 YOU ARE, AND PERHAPS OTHER CIRM TEAM MEMBERS,  
3 PURSUING WHAT'S SOMETIMES CALLED A HUNTING STRATEGY.  
4 COULD YOU JUST EXPLAIN THAT BRIEFLY?

5 DR. CREASEY: OF COURSE. SO ACTUALLY WE  
6 CREATED SLIDES. TOO BAD I DON'T HAVE THEM READY  
7 HERE. LET ME EXPLAIN TO YOU WHAT HUNTING MEANS.  
8 HUNTING MEANS THAT -- IS ACTUALLY COMPOSED OF  
9 SEVERAL FEATURES. ONE IS WE READ THE LITERATURE.  
10 WE ATTEND MEETINGS. PEOPLE APPROACH US. WE DISCUSS  
11 AMONGST OURSELVES, MEANING THE WHOLE CIRM TEAM, WHO  
12 TO TALK TO AND HOW TO APPROACH THEM. WE ACTUALLY  
13 ALSO GO AFTER WHERE WE THINK THERE'S AN UNMET  
14 MEDICAL NEED THAT WE HAVE NOT BEEN APPROACHED BY.  
15 AND SO WE CONTACT CLINICIANS, ACADEMICIANS,  
16 PHYSICIAN SCIENTISTS AND INVITE THEM TO APPLY.

17 SO IT IS NOT A -- HUNTING IS THE OPPOSITE  
18 OF PASSIVE. WE ACTUALLY GO AFTER IT IN MULTIPLE  
19 WAYS, AGAIN, WITH KEEPING IN MIND THE NEED FOR  
20 ADVANCING OUR PORTFOLIO AND KEEPING US IN THE KNOW  
21 REGARDING ALL THE NEW SCIENCE, WHETHER IT'S  
22 TECHNOLOGY OR AREAS OF UNMET MEDICAL NEED.

23 TECHNOLOGIES OFTEN EXCITE US. AND SO, FOR  
24 EXAMPLE, THE UNMET NEED, DURING THE COVID PANDEMIC,  
25 WE HAD SOME APPROACH US FOR POTENTIAL GRANTS, AND WE

**BETH C. DRAIN, CA CSR NO. 7152**

1 ALSO APPROACHED OTHERS FOR POTENTIAL GRANTS. AND SO  
2 THAT'S HOW WE ENDED UP WITH A COVID PORTFOLIO. AND  
3 SO THAT'S JUST ONE SIMPLE EXAMPLE.

4 CHAIRMAN GOLDSTEIN: GREAT. THANK YOU,  
5 ABLA.

6 THE REASON I WANTED TO JUST HIGHLIGHT THAT  
7 IS, TO THE BEST OF CIRM'S ABILITY AND PERHAPS OUR  
8 ABILITY AS A BOARD, WE DON'T THINK THAT THERE ARE  
9 GREAT PROJECTS HIDING AROUND CALIFORNIA THAT WE  
10 DON'T KNOW ABOUT AND THAT SHOULD BE FUNDED. IT'S  
11 ALWAYS POSSIBLE, OF COURSE, BUT I THINK THE CIRM  
12 TEAM HAS REALLY DONE A GOOD JOB TRYING TO ROOT THOSE  
13 OUT AND WORK WITH THEM.

14 SO LET ME OPEN IT UP TO QUESTIONS FROM THE  
15 GROUP BEFORE WE GO TO SHYAM. PLEASE RAISE YOUR  
16 HAND. J.T., GO AHEAD.

17 DR. THOMAS: SO, ABLA, JUST TO FOLLOW UP  
18 ON LARRY'S QUESTION. I HAD MENTIONED AN UPDATE FOR  
19 THE BOARD ON THE HUNTING PROCESS, AND I THINK THAT'S  
20 GOING TO BE ON THE MARCH AGENDA; IS THAT CORRECT?

21 DR. CREASEY: YES. YES. WE ACTUALLY ARE  
22 PREPARING SLIDES.

23 DR. THOMAS: GREAT. THANK YOU.

24 MY SECOND QUESTION IS, AND YOU MAY HAVE  
25 MENTIONED THIS AND I COULD HAVE MISSED IT IN YOUR

**BETH C. DRAIN, CA CSR NO. 7152**

1 PRESENTATION. THANK YOU FOR THAT PRESENTATION, BY  
2 THE WAY. VERY HELPFUL. THERE WAS THE ONE SLIDE  
3 THAT HAD PROGRESSION EVENTS SHOWING EARLY FUNDING  
4 THAT'S PROGRESSED TO CLIN1 OR CLIN2. HAVE WE HAD  
5 EARLY FUNDING THAT WE DIDN'T SEE PROGRESS, BUT  
6 OTHERS HAVE FUNDED SUBSEQUENTLY IN LATER STAGES OF  
7 RESEARCH?

8 DR. CREASEY: THE SIMPLE ANSWER IS YES.  
9 SOME HAVE ELECTED NOT TO COME BACK TO US. SOME,  
10 LIKE, APPLIED TO NIH OR OTHER NONPROFIT  
11 ORGANIZATIONS, ET CETERA. BUT WE HAVE HAD SOME THAT  
12 WENT AWAY AND CAME BACK. WE HAVE NOT CAPTURED THIS  
13 IN THE PROGRESSION EVENTS SLIDE.

14 DR. THOMAS: IS THERE ANY WAY TO -- THERE  
15 MAY BE SOME THAT WE FUNDED EARLY AND WENT AWAY AND  
16 HAVE NOT COME BACK, WHICH I THINK YOU ALLUDED TO.  
17 IS THERE ANY WAY TO GET SORT OF UPDATED DATA ON  
18 THOSE?

19 DR. CREASEY: SURE. NO PROBLEM.

20 DR. THOMAS: THANK YOU.

21 CHAIRMAN GOLDSTEIN: MARV.

22 DR. SOUTHARD: SO I WAS WONDERING IF THERE  
23 MIGHT BE SOME KIND OF PREHUNTING ACTIVITY BECAUSE AS  
24 A MENTAL HEALTH AND ADDICTION SPECIALIST ADVOCATE,  
25 IT DOESN'T LOOK LIKE THERE ARE ANY PARTICULAR

**BETH C. DRAIN, CA CSR NO. 7152**

1 PROJECTS IN THE SCHIZOPHRENIA, BIPOLAR DISORDER,  
2 ADDICTION AREA AT ALL. AND IF YOU'RE ALREADY  
3 LOOKING AT POSSIBLE THINGS THAT ARE IN DEVELOPMENT,  
4 MAYBE THERE'S SOMETHING WE NEED TO DO TO SEED  
5 DEVELOPMENT.

6 DR. CREASEY: THANK YOU, MARV, FOR THE  
7 QUESTION. I JUST WOULD LIKE TO HIGHLIGHT THAT ONE  
8 OF OUR REQUIREMENTS, FOR THE PROJECTS THAT APPLY,  
9 THERE'S AN INVOLVEMENT WITH STEM CELLS IF IT IS A  
10 SMALL MOLECULE OR A LARGE MOLECULE. AND ALSO THEN  
11 IF IT WERE A GENE THERAPY FOR A KNOWN, LIKE IF  
12 THERE'S ONE SINGLE GENE INVOLVED, LET'S SAY, FOR  
13 AUTISM, THEY COULD EASILY HAVE APPLIED OR WE WOULD  
14 HAVE GONE AFTER THEM. SO IT'S A COMBINATION OF WHAT  
15 WE CONSIDER ELIGIBLE AND ALSO WHETHER THE CLINICAL  
16 TRIALS ARE AMENABLE TO OR THE RESEARCH IS AMENABLE  
17 TOWARDS GENE THERAPY OR AN RNA THERAPY, ET CETERA.

18 DR. SOUTHARD: OBVIOUSLY WHATEVER WE'RE  
19 GOING TO BE DOING IS GOING TO BE DOING SOMETHING  
20 WITH SOME STEM CELLS. BUT, FOR EXAMPLE, IF YOU  
21 GOOGLE STEM CELL TREATMENT FOR ADDICTION AND YOU  
22 LOOKED AT WHAT COMES UP, THERE'S NOT MUCH, BUT WHAT  
23 DOES COME UP IS SOMETHING THAT SAYS THERE ARE  
24 PROJECTS UNDER DEVELOPMENT, BUT THEY ARE PROBABLY  
25 NOT GOING TO REACH ANY FULFILLMENT FOR FIVE TO TEN

**BETH C. DRAIN, CA CSR NO. 7152**

1 YEARS. SO GET INTO RECOVERY NOW. IT WAS FROM A  
2 RECOVERY PLACE.

3 SO OBVIOUSLY I DON'T KNOW AS MUCH YOU ALL  
4 DO ON THESE THINGS, BUT IT SEEMS TO ME THAT IF WE  
5 ARE LOOKING, IF WE ARE WANTING THINGS IN  
6 SCHIZOPHRENIA, BIPOLAR DISORDER, AND ADDICTION,  
7 WHICH I THINK WE ARE, HOW DO WE BEGIN TO APPROACH  
8 THAT, I GUESS, IS MY QUESTION.

9 DR. CREASEY: VERY IMPORTANT QUESTION.  
10 AND WE ACTUALLY ARE DISCUSSING IT OURSELVES, AND  
11 WE'D LOVE GUIDANCE FROM YOU GUYS AND GALS. WHAT'S  
12 IMPORTANT HERE, THOUGH, IS TO POINT OUT, AND I'M  
13 SPEAKING NOW OUT OF I DO NOT RUN THE DISCOVERY  
14 PROGRAM. MY COLLEAGUE ROSA DOES. AND MY  
15 RECOLLECTION IS WE HAVE SEVERAL, FOR EXAMPLE,  
16 PROGRAMS IN DISCOVERY THAT ADDRESS, LIKE, AUTISM.  
17 AND SO WE ARE STARTING -- WE HAVE BEEN GATHERING  
18 SOME OF THOSE, BUT NOT NECESSARILY ANY OF THEM HAVE  
19 MOVED ON OUR OWN WATCH TOWARDS TRANSLATION AND  
20 CLINICAL YET.

21 CHAIRMAN GOLDSTEIN: MARV, I'LL JUST NOTE  
22 THAT THIS HAS BEEN A TOPIC THAT THERE'S BEEN QUITE A  
23 BIT OF DISCUSSION ABOUT BETWEEN ME AND MEMBERS OF  
24 THE CIRM TEAM AND FOLKS ON THE OUTSIDE. AND WE WILL  
25 ABSOLUTELY BE RETURNING TO THIS ISSUE BECAUSE IT'S

**BETH C. DRAIN, CA CSR NO. 7152**

1 AN IMPORTANT ONE.

2 OKAY. FRED, YOU'RE UP.

3 DR. FISHER: THANKS SO MUCH. I THINK THE  
4 PRESENTATION HELPS GIVE US A REALLY GOOD BASELINE IN  
5 TERMS OF WHERE WE ARE TODAY AND WILL INFORM  
6 CONVERSATIONS ABOUT WHERE WE ARE GOING.

7 QUICK QUESTION. I APOLOGIZE IN ADVANCE IF  
8 I MISSED IT. IS MS, I DON'T RECALL HEARING IT OR  
9 SEEING IT ON THE LIST. AGAIN, APOLOGIES IF I MISSED  
10 IT. IS MS PART OF THIS?

11 DR. CREASEY: WE HAVE NOT HAD ANY MS  
12 GRANTS IN TRAN AND CLINICAL.

13 DR. FISHER: BUT IT IS -- MS GRANTS THAT  
14 ARE GENE OR STEM CELL THERAPY FOCUSED WOULD BE  
15 CONSIDERED NEURO GRANTS?

16 DR. CANET-AVILES: YES, OF COURSE.

17 DR. MILLAN: AND THEY'RE ELIGIBLE.

18 DR. FISHER: GREAT. THANKS. I JUST  
19 DIDN'T SEE IT LISTED SPECIFICALLY ANYWHERE AND  
20 WANTED TO BE CLEAR ONE WAY OR ANOTHER.

21 DR. CREASEY: AGAIN, FRED, WHAT'S  
22 IMPORTANT, WHAT I SAID IS THAT OUR GLOSSARY IS MAYBE  
23 INCOMPLETE. AND WE WILL ADD TO IT AS WE ARE  
24 PROGRESSING WITH THE TASK FORCE.

25 DR. CANET-AVILES: JUST TO ADD, IN

**BETH C. DRAIN, CA CSR NO. 7152**

1 DISCOVERY WE HAVE FIVE AWARDS THAT HAVE BEEN IN  
2 PORTFOLIO, BUT WE DON'T CURRENTLY HAVE ANY. SO WE  
3 WILL GO INTO THIS PART OF THE PORTFOLIO AT ANOTHER  
4 TIME WHEN DR. GOLDSTEIN MENTIONS IT.

5 CHAIRMAN GOLDSTEIN: GREAT. THANK YOU.  
6 MARIA BONNEVILLE.

7 VICE CHAIR BONNEVILLE: THANK YOU. CAN  
8 YOU SPEAK TO THE HUNTING A LITTLE MORE? I KNOW YOU  
9 ARE GOING TO GIVE A PRESENTATION AT THE BOARD, BUT I  
10 THINK IT'S IMPORTANT IN THIS CONTEXT TO TALK ABOUT  
11 HOW THE PROJECTS HAVE BEEN COMING. AND JUST TO  
12 CLARIFY, TO DATE THERE HAS NOT BEEN A DIRECTIVE TO  
13 THE TEAM TO LOOK STRICTLY FOR NEURO PROJECTS,  
14 CORRECT? IT HAS JUST BEEN THE PROMISING PROJECTS IN  
15 CALIFORNIA AND HOW DO WE BRING THEM INTO THE FOLD  
16 VERSUS A GROUP LIKE THIS WHERE THE BOARD COULD  
17 DIRECT YOU AND THE TEAM TO GO SPECIFICALLY FOR  
18 NEURO, SOME SORT OF NEURO, WHETHER IT'S NEUROPSYCHE  
19 OR NEURODEGENERATIVE, IF THAT WERE THE DIRECTION  
20 MOVING FORWARD.

21 DR. CREASEY: THANK YOU, MARIA. THE  
22 INSTRUCTIONS FOR TRAN AND CLIN TEAMS ARE TO GO AFTER  
23 NEURO INDICATIONS FOR THE PAST AT LEAST YEAR WHERE  
24 WE MADE THAT THE HIGHEST PRIORITY IN IDENTIFYING  
25 NEURO PROGRAMS WITHIN THE STATE AND OUTSIDE THE

**BETH C. DRAIN, CA CSR NO. 7152**

1 STATE THAT WOULD COME APPLY. AND SO THAT'S ALREADY  
2 ON OUR RADAR.

3 WE, IN FACT, THE TEAM, WE MEET FOR OUR  
4 HUNTING. WE PRIORITIZE NEURO AS THE FIRST  
5 TOUCHPOINTS WE'VE MADE IN NEURO PROGRAMS. AND WE  
6 DISCUSS HOW BEST TO APPROACH ONE COMPANY VERSUS  
7 ANOTHER OR ACADEMIC INSTITUTION VERSUS ANOTHER. SO  
8 I THINK THAT PRIORITIZATION OF NEURO IS ON OUR MIND.  
9 IN FACT, IT'S BEEN ON OUR MIND SINCE THE PROPOSITION  
10 PASSED. IT'S A MATTER OF THE AVAILABILITY OF  
11 INVESTIGATORS IN THE STATE THAT ARE WILLING TO  
12 APPLY.

13 CHAIRMAN GOLDSTEIN: THANK YOU. PAT.

14 DR. LEVITT: THANKS VERY MUCH. THAT WAS  
15 GREAT. THE PROPOSITION IS -- CONTEXT FOR THE  
16 PROPOSITION AND THE MANDATE IS THE CONTEXT IS  
17 DOLLARS. AND SO I'M WONDERING -- I HAVE A MUCH  
18 BETTER SENSE NOW OF THE NUMBER OF PROJECTS, THE KIND  
19 OF PROJECTS, THE PERCENTAGE ON THAT PIE CHART.  
20 WHERE DO THOSE PROJECTS SIT IN TERMS OF THE FRACTION  
21 OF THE 1.5 BILLION THAT IS LISTED SPECIFICALLY IN  
22 THE PROPOSITION, WHICH WOULD GIVE US AN ADDITIONAL  
23 INFORMATION ABOUT, NOT JUST THE SCIENTIFIC GAPS THAT  
24 PEOPLE HAVE BEEN BRINGING UP, BUT ALSO THE FINANCIAL  
25 GAPS AND CHALLENGES?

**BETH C. DRAIN, CA CSR NO. 7152**

1 DR. CREASEY: I THINK DR. GOLDSTEIN  
2 RECEIVED THAT ANSWER JUST A FEW MINUTES AGO. YOU  
3 WANT TO PROVIDE IT?

4 CHAIRMAN GOLDSTEIN: ARE YOU REFERRING TO  
5 THE TABULATION FOR DISC DOLLARS THAT YOU GAVE ME, OR  
6 DID THAT INCLUDE NEURO -- SORRY.

7 DR. CREASEY: I CAN SAY I THINK IT'S 30  
8 PERCENT OF THE R&D BUDGET HAS BEEN SPENT ON NEURO,  
9 PROPOSITION 14 R&D BUDGET.

10 UNIDENTIFIED SPEAKER: IT'S \$129 MILLION.

11 DR. CREASEY: THE ACTUAL NUMBER IS 129  
12 MILLION.

13 DR. LEVITT: SO 129 MILLION, 30 PERCENT  
14 HAS BEEN SPENT SO FAR ON R&D OUT OF THE MANDATE FOR  
15 1.5 BILLION, RIGHT?

16 DR. CANET-AVILES: CORRECT.

17 DR. LEVITT: THANK YOU.

18 SO I DON'T KNOW THE DETAILS OF THIS.  
19 THERE'S CLEARLY A LOT OF IPS CELL WORK AND ORGANOID  
20 WORK, ET CETERA -- WE HAD A MEETING LIKE MAYBE IT  
21 WAS OVER A YEAR AGO. I CAN'T REMEMBER ANYMORE --  
22 WHERE A NUMBER OF SCIENTISTS IN CALIFORNIA WHO  
23 TALKED ABOUT THAT KIND OF WORK. I ASSUME -- I KNOW  
24 THAT THAT'S ON YOUR RADAR, BUT IS ANY OF THAT WORK  
25 IN THAT PORTFOLIO IN THE DISC PORTFOLIO OR THE

**BETH C. DRAIN, CA CSR NO. 7152**

1 TRANSLATIONAL PORTFOLIO?

2 DR. CANET-AVILES: IT IS. THE IPS WORK  
3 WOULD BE CONSIDERED PART OF THE EARLIER PART OF OUR  
4 PORTFOLIO, AND WE WILL BE BEGINNING TO GET MORE  
5 GRANULARITY IN THE FUTURE ABOUT THIS DEFINITELY.

6 DR. LEVITT: AND THEN MAYBE ONE OTHER  
7 JUST -- SORRY, LARRY. ONE OTHER, I DON'T KNOW THE  
8 DETAILS OF THIS. HOW DO RESEARCH INVESTIGATORS  
9 OUTSIDE OF THE STATE OF CALIFORNIA THAT KNOW THEY'RE  
10 DOING WORK THAT'S ELIGIBLE FOR CIRM FUNDING, HOW DO  
11 THEY FIND OUT ABOUT THAT? OR HOW ARE YOU ALL  
12 THINKING ABOUT THE BEST WAY OF -- I UNDERSTAND THE  
13 HUNT COMPONENT, WHICH CAN BE VERY SUCCESSFUL, BUT  
14 HOW DO RESEARCHERS OR INVESTIGATORS IN GENERAL FIND  
15 OUT, IF THEY'RE OUTSIDE OF THE STATE OF CALIFORNIA,  
16 ABOUT THEIR ELIGIBILITY TO BE ABLE TO APPLY FOR CIRM  
17 FUNDING?

18 DR. CREASEY: ALL SCIENTISTS AT CIRM ARE  
19 EQUIPPED WITH ELIGIBILITY CRITERIA. AND IN  
20 COLLABORATION WITH BUSINESS DEVELOPMENT, WE REACH  
21 OUT TO PEOPLE OUTSIDE THE STATE. AND, IN FACT, AS  
22 PER OUR MISSION, WE CAN FUND GLOBALLY. IN FACT, ONE  
23 OF OUR GRANTS CAME FROM -- THE GRANTEE WAS FROM  
24 ISRAEL. AND SO WE CONSTANTLY REACH OUT TO  
25 SCIENTISTS AND CLINICIANS THROUGHOUT THE COUNTRY WHO

**BETH C. DRAIN, CA CSR NO. 7152**

1 HAVE EITHER PUBLISHED A RECENT PAPER OR WE HAPPEN TO  
2 MEET THEM AT A CONFERENCE, AND WE SHARE WITH THEM  
3 THE ELIGIBILITY CRITERIA. WHEN IT COMES TO DISC AND  
4 TRAN, ONLY CITIZENS OF CALIFORNIA CAN APPLY. BUT  
5 WHEN IT COMES TO CLIN1 AND CLIN2, THOSE CAN BE --  
6 APPLICANTS FROM OUTSIDE THE STATE CAN APPLY.

7 SO THAT'S WHAT WE TALK ABOUT. CLIN1,  
8 WHICH IS IND-ENABLING STUDIES, AND THEN CLIN2, WHICH  
9 IS CONDUCTING THE TRIAL, WE FUND FOR OUTSIDE THE  
10 STATE OF CALIFORNIA.

11 DR. LEVITT: SO ARE ANNOUNCEMENTS SENT TO,  
12 FOR EXAMPLE, UNIVERSITIES, DIRECTORS OF RESEARCH OR  
13 FREESTANDING RESEARCH INSTITUTES? DO THEY RECEIVE  
14 THEM AND THEN ARE ABLE TO DISTRIBUTE THEM? I KNOW  
15 THE INDIVIDUAL INVESTIGATOR CONTACT IS HELPFUL, AND  
16 THAT'S PART OF THE HUNTING PROCESS, BUT FOR BROADER  
17 DISTRIBUTION WITH THE ELIGIBILITY CRITERIA NOTED. I  
18 DON'T KNOW IF YOU REGULARLY DO THAT. SO THERE'S  
19 VICE PRESIDENTS FOR RESEARCH AT UNIVERSITIES, ET  
20 CETERA, OUTSIDE OF CALIFORNIA OR WORLDWIDE BASED ON  
21 THE TYPES OF GRANTS.

22 DR. CREASEY: THANK YOU FOR BRINGING THAT  
23 UP. WE ACTUALLY HAVE RECENTLY STARTED SOMETHING  
24 LIKE THAT. IN FACT, ONE OF OUR RECENT RECRUITS  
25 RECOMMENDED WHY NOT CREATE EVEN PAMPHLETS THAT GO

**BETH C. DRAIN, CA CSR NO. 7152**

1 WITH THE LETTERS THAT THEY CAN PUT ON THEIR BULLETIN  
2 BOARDS AND HAVE THEM SHARED WITH THEIR FACULTY  
3 MEMBERS.

4 DR. LEVITT: YEAH. THERE ARE PEOPLE ON  
5 THIS CALL HAVE THAT. WHEN I RECEIVE SOMETHING IN MY  
6 OFFICE AS CHIEF SCIENTIFIC OFFICER, I HAVE AN  
7 ELECTRONIC BULLETIN BOARD. I DON'T KNOW IF WE HAVE  
8 ANY BULLETIN BOARDS ANYMORE. BUT THAT BEING SAID,  
9 THEN THE DISTRIBUTION IS TO SEVERAL HUNDRED  
10 INVESTIGATORS. I THINK THAT WILL HELP BECAUSE WE  
11 HAVE -- THE PORTFOLIO IS IMPRESSIVE MORE THAN I HAD  
12 THOUGHT, BUT WE'RE GOING TO NEED A WIDER REACH,  
13 PARTICULARLY IN AREAS IN WHICH WE HAVE LITTLE TO NO  
14 ACTIVITY. AND THAT HAS ALREADY BEEN MENTIONED.  
15 THANK YOU, LARRY. THANKS VERY MUCH.

16 DR. CREASEY: WE HAVE NO SHORTAGE OF  
17 GRANTS BEING SUBMITTED. WE HAVE, IN GENERAL, FOR  
18 CLIN1 AND CLIN2, WE GET NOW SEVERAL A MONTH SINCE WE  
19 HAVE THE 12 MONTHS A YEAR THAT THEY CAN APPLY. AND  
20 WHAT IS INTERESTING IS THAT WE NEED TO MAYBE -- THE  
21 CLIN2 EITHER THROUGH OUR HUNTING OR PASSIVELY. AND  
22 SO IF WE WANT TO HAVE DIFFERENT TYPE OF APPLICANTS,  
23 THEN MAYBE THAT WOULD BE A DIFFERENT DISCUSSION AT  
24 SOME POINT WITH THE TASK FORCE.

25 CHAIRMAN GOLDSTEIN: ABLA, I HAVE TO

**BETH C. DRAIN, CA CSR NO. 7152**

1 CONFESS I'M A LITTLE CONFUSED. I THOUGHT THAT THE  
2 PROPOSITION LIMITED FUNDING TO PROJECTS IN  
3 CALIFORNIA EXCLUSIVELY WITH A LOOPHOLE FOR  
4 COLLABORATIONS. WHAT AM I MISSING HERE?

5 DR. CREASEY: FOR CLIN1 AND CLIN2, WE FUND  
6 OUTSIDE THE STATE. AM I RIGHT? YES. OUTSIDE THE  
7 STATE OF CALIFORNIA.

8 DR. CANET-AVILES: IT'S FOR EVERYTHING  
9 MINUS CLIN1, CLIN2.

10 DR. MILLAN: PROVIDED THAT THE FUNDS ARE  
11 EXPENDED IN CALIFORNIA.

12 CHAIRMAN GOLDSTEIN: THERE WE GO. OKAY.  
13 SO THE DOLLARS HAVE TO STAY IN CALIFORNIA FOR  
14 PROJECTS THAT HAVE A PI OUTSIDE OF CALIFORNIA; IS  
15 THAT CORRECT?

16 DR. CREASEY: FUNDS HAVE TO BE EXPENDED IN  
17 CALIFORNIA BASED ON THE ACTIVITIES THEY DO IN  
18 CALIFORNIA. FOR EXAMPLE, THEY CAN MANUFACTURE IN  
19 CALIFORNIA. THEY CAN DO THEIR TOXICOLOGY STUDY IN  
20 CALIFORNIA. AND THAT'S WHAT WE REQUIRE FOR THE  
21 IND-ENABLING STUDIES. BUT FOR CLINICAL, THEY HAVE  
22 TO HAVE A PI IN CALIFORNIA WITH A CLINICAL SITE THAT  
23 ARE GOING TO ENROLL PATIENTS FOR THE CLINICAL TRIALS  
24 EVEN THOUGH THE APPLICANT, LET'S SAY, IS FROM NEW  
25 YORK.

**BETH C. DRAIN, CA CSR NO. 7152**

1           CHAIRMAN GOLDSTEIN:  OKAY.  SO IT'S NOT  
2  EVERYWHERE IN THE WORLD EASILY WE CAN FUND.  THERE  
3  REALLY HAS TO BE A CENTER OF ACTIVITY IN CALIFORNIA  
4  TO BE ELIGIBLE.

5           DR. CREASEY:  CORRECT.  CORRECT.

6           CHAIRMAN GOLDSTEIN:  GREAT.  MARK  
7  FISCHER-COLBRIE.

8           DR. FISCHER-COLBRIE:  COUPLE QUICK  
9  QUESTIONS FOR HISTORICAL REFERENCE.  HAVE WE EVER  
10  DONE REQUESTS FOR PROPOSALS AND/OR ENSORTIA MEETING  
11  AROUND SPECIFIC TOPICS RELATED TO LOOK AT HUNTING  
12  FOR ELEMENTS IN A DIFFERENT WAY?  JUST CURIOUS ABOUT  
13  THOSE TWO MODALITIES.

14          DR. CREASEY:  I'VE BEEN HERE SINCE 2016,  
15  MEANING AT CIRM.  WE HAVE NOT DONE THAT.  WE'VE  
16  TALKED ABOUT IT AT LEAST A COUPLE OF TIMES.  MARIA,  
17  YOU WANT TO SAY.  HAVE WE DONE IT?

18          DR. MILLAN:  TO THE BEST OF MY KNOWLEDGE,  
19  AND I THINK GIL SAMBRANO WHO WOULD HAVE PROBABLY  
20  BEEN HERE, J.T., WE HAVEN'T HAD A THEME-SPECIFIC  
21  CALL, LIKE A MOONSHOT OR THEMATIC, BUT THAT IS  
22  SOMETHING THAT IS IN THE STRATEGIC PLAN AS AN AREA  
23  THAT WE CAN GO TOWARD TO HAVE A CONSORTIUM APPROACH,  
24  BUT WE HAVE NOT YET DONE THAT TO DATE.

25          DR. THOMAS:  TO MY KNOWLEDGE, I AGREE WITH

**BETH C. DRAIN, CA CSR NO. 7152**

1 THAT STATEMENT.

2 DR. FISCHER-COLBRIE: GREAT. THANK YOU.

3 CHAIRMAN GOLDSTEIN: THANK YOU, MARK.

4 J.T.

5 DR. THOMAS: ABLA, ONE OTHER HUNTING  
6 RELATED QUESTION. A TYPICAL FACT PATTERN WOULD BE A  
7 COMPANY OUTSIDE OF CALIFORNIA WANTS TO HAVE ONE OF  
8 ITS TRIAL SITES IN CALIFORNIA AND APPLIES WITH  
9 RESPECT TO THE COST ATTACHED TO THAT. DO YOU KNOW  
10 IF THE ACADEMIC INSTITUTIONS HUNT THEMSELVES? DO  
11 THEY GO OUT TRYING TO SOLICIT COMPANIES FROM OUTSIDE  
12 OF CALIFORNIA TO COME TO CALIFORNIA TO DO PART OF  
13 THEIR CLINICAL TRIALS HERE SUCH THAT -- AND USE AS  
14 POTENTIAL BAIT FOR THAT, IF YOU WILL, THAT THERE'S  
15 THE POSSIBILITY OF APPLYING FOR CIRM FUNDING FOR  
16 THAT COMPONENT? DO WE KNOW IF THAT SORT OF THING  
17 HAPPENS?

18 DR. CREASEY: I THINK IT HAPPENS  
19 FREQUENTLY ACTUALLY. IT'S A WAY TO ENHANCE  
20 COLLABORATION. AND I CAN THINK OF AT LEAST A COUPLE  
21 OF EXAMPLES THAT THAT'S THE CASE. FOR EXAMPLE, I  
22 DON'T WANT TO SPEAK ABOUT THE PROJECTS, BUT AT LEAST  
23 I CAN CITE A COUPLE AT SOME POINT, YEAH.

24 DR. MILLAN: AND THE OTHER PART OF THAT IS  
25 TRUE AS WELL, J.T. MANY INDUSTRY PARTNERS ARE

**BETH C. DRAIN, CA CSR NO. 7152**

1 ACADEMIC INVESTIGATORS WHO ARE VERY INTERESTED IN  
2 BEING ABLE TO COLLABORATE WITH CALIFORNIA  
3 INVESTIGATORS OR MOTIVATED TO DO SO BECAUSE OF THE  
4 POTENTIAL FUNDING OF THAT COLLABORATION.

5 DR. THOMAS: SURE, ABSOLUTELY. I WAS JUST  
6 CURIOUS ABOUT THE OPPOSITE. YEAH BECAUSE THAT'S --  
7 I WOULD THINK THAT WOULD BE A GOOD RECRUITING TOOL  
8 TO GET COMPANIES TO COME TO CALIFORNIA WITH RESPECT  
9 TO PARTICULAR SITES OR WHATEVER FOR THE INSTITUTIONS  
10 IN QUESTION.

11 DR. LEVITT: J.T., THERE'S A DATA  
12 PLATFORM, TRINETX -- SOME PEOPLE MAY BE FAMILIAR  
13 WITH THAT -- WHERE ELECTRONIC HEALTH RECORDS THAT  
14 ARE DEIDENTIFIED AT INSTITUTIONS ARE AVAILABLE FOR  
15 COMPANIES TO SEARCH THROUGH. WE GET CONTACTED ALL  
16 THE TIME, THAT THEY CAN SEE HOW MANY PATIENTS ARE AT  
17 CHLA WITH A CERTAIN IDC CODE. THESE ARE PHARM AND  
18 BIOTECH COMPANIES THAT ARE INTERESTED IN DOING  
19 CLINICAL TRIALS. AND THEY'RE INTERESTED TO FIGURE  
20 OUT WHETHER THEY WANT TO DO IT AT YOUR PARTICULAR  
21 SITE. I'M SURE THERE ARE OTHER INSTITUTIONS IN  
22 CALIFORNIA, I KNOW THERE ARE OTHER INSTITUTIONS IN  
23 CALIFORNIA THAT HAVE THE SAME THING. THEIR  
24 ELECTRONIC HEALTH RECORDS ARE INGESTED INTO TRINETX  
25 AND THEN THEY'RE SEARCHED.

**BETH C. DRAIN, CA CSR NO. 7152**

1 THE THING THAT'S MISSING IS THE CONNECTION  
2 WITH THE COMPANY RECOGNIZING THAT FOR CERTAIN KINDS  
3 OF TRIALS THEY CAN GET SUPPORT FROM CIRM BECAUSE IT  
4 WOULD BE -- THEY WOULD FULFILL THE REQUIREMENTS.

5 CHAIRMAN GOLDSTEIN: OKAY. GREAT. I'M  
6 SURE WE'LL ABLE TO RETURN TO SOME OF THESE ISSUES  
7 LATER ON IN THIS CALL AND IN SUBSEQUENT CALLS.

8 BUT THE OTHER PART OF THE PORTFOLIO IS  
9 WHAT'S HAPPENING IN CALIFORNIA INDUSTRY AND BEYOND.  
10 SHYAM HAS PUT TOGETHER A PRESENTATION. IF SHYAM IS  
11 READY, PLEASE GRAB THE SCREEN AND GO AHEAD.

12 DR. PATEL: THANK YOU, DR. GOLDSTEIN. I'M  
13 GOING TO SHARE MY POWERPOINT DECK. I HOPE YOU CAN  
14 HEAR ME OKAY.

15 THE REPORTER: BETH WOULD LIKE FOR THE  
16 VOLUME TO BE UP A LITTLE BIT. IT'S A LITTLE BIT  
17 HARDER TO HEAR YOU FOR ME.

18 DR. PATEL: I CAN PROJECT. CAN YOU HEAR  
19 ME NOW, BETH?

20 THE REPORTER: THAT'S BETTER. THANK YOU.

21 DR. PATEL: SO I'M GOING TO PRESENT  
22 CERTAIN SNAPSHOTS FOCUSING ON CELL AND GENE THERAPY  
23 DEVELOPMENT IN NEUROLOGICAL DISORDERS. SO THIS IS  
24 BY NO MEANS MEANT TO BE COMPREHENSIVE, BUT I WANTED  
25 TO GIVE YOU AN IDEA OF CERTAIN AREAS THAT WE'VE BEEN

1 TRACKING HERE AT CIRM.

2 SO I'M GOING TO SKIP THE MISSION SLIDE.  
3 AND I'M GOING TO GET TO WHAT WE'LL BE FOCUSING ON.  
4 SO I'LL TALK ABOUT INITIALLY WHAT THE PARTNERING  
5 STATUS OF THE COMPANIES THAT WE FUND IS. I HAVE  
6 SOME EXAMPLES OF THOSE IN HERE. AND THEN CELL  
7 THERAPIES FOR PARKINSON'S DISEASE, CELL THERAPIES  
8 FOR GLIOBLASTOMA, A SNAPSHOT OF THESE PARTICULAR  
9 FIELDS IN THOSE DISEASES.

10 AS ABLA MENTIONED, GIVEN THE SUCCESS OF  
11 LUXTURNA AND ZOLGENSMA, THERE HAVE BEEN SEVERAL  
12 COMPANIES THAT HAVE BEEN LAUNCHED FOR AAV GENE  
13 THERAPIES FOR MONOGENIC NEURO DISORDERS. I'LL  
14 DISCUSS THAT A LITTLE BIT AS WELL. LASTLY, WAYS  
15 THAT INDUSTRIES ARE PARTICIPATING IN NONPROFIT GENE  
16 THERAPY DEVELOPMENT EFFORTS.

17 SO I'LL START OFF FIRST, AND THIS IS A BIG  
18 TABLE, BUT I'LL START OFF FIRST WITH HOW THE  
19 COMPANIES ARE IN OUR PORTFOLIO, WHAT STAGE THEY'RE  
20 AT, WHAT SORT OF INDICATIONS THEY'RE TARGETING, AND  
21 THEN HOW THEY'RE FUNDED. SO WHAT THIS TABLE IS  
22 MEANT TO SHOW YOU IS THAT WE ARE FUNDING -- THESE  
23 COMPANIES ARE BEING FUNDED BY CIRM THAT ARE ACROSS  
24 ALL STAGES OF DEVELOPMENT FROM DISCOVERY THROUGH  
25 PIVOTAL TRIALS. WE'VE SEEN AN UPTICK OF COMPANIES

**BETH C. DRAIN, CA CSR NO. 7152**

1 APPLYING TO AND GETTING FUNDED BY CIRM OVER THE LAST  
2 COUPLE YEARS IN PARTICULAR.

3 SO THESE COMPANIES ARE ACROSS THE WHOLE  
4 PORTFOLIO. THEY'RE DEVELOPING CELL AND GENE  
5 THERAPIES ACROSS DIFFERENT TECHNOLOGY PLATFORMS FOR  
6 VARIOUS DIFFERENT INDICATIONS AS SHOWN ON THIS  
7 TABLE. THIS IS A COMPLEMENT TO THE INFORMATION THAT  
8 ABLA WAS PRESENTING.

9 AND THEN THE FUNDING STATUS OF THESE  
10 COMPANIES. THE LATER STAGE COMPANIES OBVIOUSLY HAVE  
11 MORE VENTURE CAPITAL FUNDING OR PUBLIC MARKET  
12 FUNDING. AND THE EARLIER STAGE COMPANIES, THE  
13 DISCOVERY STAGE COMPANIES, ARE ALL EITHER GRANT OR  
14 SEED STAGE COMPANIES. THEY RAISE SMALL AMOUNTS OF  
15 MONEY THROUGH GRANTS AS WELL AS THROUGH SEED STAGE  
16 FUNDING.

17 SO ONE AREA WHERE THERE HAS BEEN A LOT OF  
18 ACTIVE INDUSTRY SUPPORT HAS BEEN IN THIS SPECIFIC  
19 CASE OF USING PLURIPOTENT STEM CELL-DERIVED  
20 PROGENITOR CELLS FOR PARKINSON'S DISEASE. AND SO  
21 HERE THERE'S BEEN A NUMBER OF THERAPIES THAT HAVE  
22 GONE INTO THE CLINIC, AND ALMOST ALL OF THEM HAVE  
23 SOME SORT OF INDUSTRY BACKING. SO THE MOST  
24 PROMINENT ONES ARE THE ONES OF DR. LORENZ STUDER AND  
25 DR. MALIN PARMAR. BOTH OF THOSE ARE IN THE CLINIC,

**BETH C. DRAIN, CA CSR NO. 7152**

1 AND THEY'RE ACTUALLY SUPPORTED BY BAYER ON THE  
2 STUDER PROJECT AND THEN NOVA NORDISK ON THE PARMAR  
3 PROJECT.

4 SO ON THE STUDER PROJECT, THIS WAS A  
5 COMPANY SPUN OUT TO DO THIS, BLUEROCK THERAPEUTICS A  
6 FEW YEARS AGO. IT WAS FOUNDED BY BAYER AND VERSANT  
7 VENTURES, AND THEN BLUEROCK GOT FULLY ACQUIRED BY  
8 BAYER IN 2019. AND THIS TRIAL CONTINUES TO BE  
9 SUPPORTED BY BAYER AND BLUEROCK, WHO OPERATES  
10 INDEPENDENTLY.

11 SIMILARLY, IN 2018 NOVA NORDISK STRUCK A  
12 PARTNERSHIP WITH LUND UNIVERSITY FOR THEIR EMBRYONIC  
13 STEM CELL-DERIVED PROGENITOR CELL THERAPY. AND THIS  
14 IS IN CLINICAL TRIALS NOW, AND IT WAS INITIATED LATE  
15 LAST YEAR, AND NOVA NORDISK IS FUNDING THAT TRIAL.

16 THE INTERESTING PART OF THIS IS THAT NEURO  
17 COMPANIES, SUCH AS ASPEN NEUROSCIENCE AND RYNE BIO,  
18 ARE CONTINUING TO GET ADDITIONAL SUPPORT FROM  
19 VENTURE CAPITAL AS THEY PROGRESS THEIR THERAPIES  
20 INTO THE CLINIC. SO ASPEN NEUROSCIENCE IS A  
21 CALIFORNIA COMPANY. THE DISCOVERY STAGE WORK HERE  
22 WAS DONE BY DR. JEANNE LORING, AND IT WAS FUNDED BY  
23 CIRM. THE COMPANY WAS SPUN OUT A FEW YEARS AGO.  
24 AND IN A TOUGH MARKET ENVIRONMENT IN 2022, IT WENT  
25 ON TO RAISE CLOSE TO \$150 MILLION IN A SERIES B

**BETH C. DRAIN, CA CSR NO. 7152**

1 FINANCING FROM BLUE CHIP INVESTORS. AND THEN ALSO  
2 RAISED A DEBT FINANCING ROUND IN 2022 TO HELP SECURE  
3 SOME OF THE OPERATIONAL CAPITAL THAT IT NEEDS TO  
4 CONTINUE TO PROGRESS TO THE CLINIC.

5 SIMILARLY, RYNE BIO, WHICH IS AN  
6 IND-ENABLING COMPANY. THIS WAS RECENTLY FUNDED BY  
7 CIRM. IT'S ANOTHER CALIFORNIA COMPANY THAT IS  
8 DEVELOPING IPSC-DERIVED NEUROPROGENITOR CELL  
9 THERAPY. AND THIS HAS SPUN OUT WITH HELP FROM  
10 SAISEI BIO VENTURES AS WELL AS FUJIFILM CDI.

11 JUMPING ON TO GLIOBLASTOMA, HERE IT'S AN  
12 INTERESTING TREND WHERE MOST OF THE CLINICAL STAGE  
13 CELL-BASED IMMUNOTHERAPIES ARE ACTUALLY BEING  
14 SUPPORTED BY ACADEMIC INSTITUTIONS OR THE NIH. SO  
15 CIRM IS CURRENTLY FUNDING SEVEN CELL THERAPY  
16 PROJECTS ACROSS TRAN AND CLIN PORTFOLIO FOR  
17 GLIOBLASTOMA. ALL OF THEM ARE AT ACADEMIC CENTERS:  
18 CITY OF HOPE, STANFORD, AND UCSF.

19 ONE OF THE CITY OF HOPE PROJECTS WAS  
20 LICENSED VERY EARLY ON BY MUSTANG BIO, BUT THE  
21 TRIALS ARE BEING SPONSORED BY CITY OF HOPE AT THE  
22 MOMENT. EVENTUALLY MUSTANG BIO WILL TAKE THIS  
23 PROJECT ON BY COMBINING IT WITH ANOTHER ONCOLYTIC  
24 VIRUS THERAPY.

25 SO GIVEN THE FACT THAT MANY OF THESE

**BETH C. DRAIN, CA CSR NO. 7152**

1 PROJECTS ARE BEING PROGRESSED IN AN ACADEMIC SETTING  
2 THROUGH CLINICAL TRIALS, CIRM IS PARTICIPATING WITH  
3 VARIOUS DIFFERENT INVESTORS AND FUNDERS TO FIGURE  
4 OUT IF THERE'S A BUSINESS MODEL FOR ADVANCING A  
5 PORTFOLIO OF THESE THERAPIES FOR GLIOBLASTOMA  
6 THROUGH INDUSTRY SUPPORT.

7 TO FOLLOW ON WHAT ABLA WAS MENTIONING WITH  
8 GENE THERAPIES FOR MONOGENIC DISORDERS, AS YOU KNOW,  
9 ZOLGENSMA HAS BEEN IN THE MARKET SINCE A FEW YEARS.  
10 IT HAS BEEN APPROVED IN 47 COUNTRIES TO DATE. IN  
11 OCTOBER OF 2022, THE COMPANY ANNOUNCED THAT THERE  
12 WERE TWO PATIENT DEATHS FROM ACUTE LIVER FAILURE.  
13 AND THE PRODUCT CONTINUES TO SELL. IT HAS GENERATED  
14 \$1.37 BILLION IN REVENUE IN THE LAST FISCAL YEAR.  
15 THIS IS MOSTLY THROUGH CREATING THE INCIDENT  
16 POPULATION.

17 THERE WAS A RECENT APPROVAL, AS ABLA  
18 MENTIONED, OF UPSTAZA, WHICH IS AN AAV GENE THERAPY  
19 FOR AADC. THIS WAS APPROVED IN EUROPE.

20 AND IN RECENT YEARS, BECAUSE OF THE  
21 SUCCESS OF ZOLGENSMA AND LUXTURNA, THERE HAVE BEEN  
22 SEVERAL COMPANIES LAUNCHED A REALLY SIGNIFICANT  
23 VENTURE FINANCING AND PUBLIC MARKET FINANCING TO  
24 ADVANCE AAV GENE THERAPIES FOR THESE MONOGENIC  
25 NEUROLOGICAL DISORDERS, PARTICULARLY IN

**BETH C. DRAIN, CA CSR NO. 7152**

1 NEUROMETABOLIC FIELDS, IN NEURODEGENERATIVE FIELDS.  
2 HOWEVER, IN THE LAST SEVERAL YEARS, SEVERAL OF THE  
3 LATE STAGE CLINICAL PROGRAMS HAVE ENCOUNTERED  
4 DIFFICULTIES. I'M GOING TO DESCRIBE SOME OF THOSE  
5 IN THE NEXT SLIDE BECAUSE THEY'RE NOT AS SIMPLE AS  
6 JUST CLINICAL TRIAL ENDPOINTS NOT BEING MET.

7 AND THEN BUILDING ON THAT, IN THE PAST  
8 COUPLE YEARS THERE HAS BEEN A PUSH TOWARD DEVELOPING  
9 AAV ENGINEERING PLATFORMS TO OVERCOME THE SAFETY AND  
10 EFFICACY LIMITATIONS FOR CNS GENE DELIVERY. SEVERAL  
11 COMPANIES HAVE BEEN LAUNCHED, AND THERE'S VERY  
12 ACTIVE INVESTMENT AND PARTNERSHIP FROM BIOPHARMA  
13 INVESTORS, AND I'LL TOUCH ON THAT IN A COUPLE  
14 SLIDES.

15 SO TO GET TO THE POINT OF COMPANIES THAT  
16 HAVE BEEN DEVELOPING GENE THERAPIES FOR MONOGENIC  
17 DISORDERS, SEVERAL OF THEM HAVE BEEN IN LATE STAGE.  
18 THIS SLIDE SHOWS YOU A COMPILATION OF SOME OF THESE  
19 EXAMPLES. SO LYSOGENE AND SIO GENE THERAPIES WERE  
20 BOTH COMPANIES THAT WERE ADVANCING AAV GENE  
21 THERAPIES. THEY WERE IN LATE STAGE, AND BOTH OF  
22 THOSE COMPANIES ARE CURRENTLY IN BANKRUPTCY  
23 PROCEEDINGS.

24 IN THE CASE OF SIO GENE THERAPIES, THIS  
25 COMPANY HAD CANCELED ITS PARKINSON'S DISEASE

**BETH C. DRAIN, CA CSR NO. 7152**

1 PROGRAM, AND IT'S GM1 AND GM2 PROGRAMS ARE BEING  
2 RETURNED TO U MASS.

3 THERE ARE ALSO A COUPLE OF OTHER COMPANIES  
4 THAT HAVE LAUNCHED ALONG THAT TIME FRAME. THERE WAS  
5 TAYSHA GENE THERAPIES WHICH HAD LAUNCHED EARLY ON  
6 WITH SIGNIFICANT VENTURE BACKING, AND IT WENT  
7 PUBLIC. AT ONE POINT IT HAD AGGREGATED OVER 20  
8 DIFFERENT PROGRAMS, ALL BEING PROGRESSED THROUGH  
9 PARTNERSHIP WITH PATIENT GROUPS AS WELL AS NIH AND  
10 OTHER FUNDING AGENCIES. AND IT DROPPED MOST OF  
11 THOSE AND KEPT GAN AND RETT SYNDROME PROJECTS. IN  
12 THE LAST QUARTER OF 2022, IT STRUCK A DEAL WITH  
13 ASTELLAS TO GET \$50 MILLION TO ADVANCE THOSE TWO  
14 THERAPIES TO CLINICAL DEVELOPMENT, AND IT HAD  
15 SEVERAL LEADERSHIP CHANGES. THIS IS A COMPANY  
16 THAT'S GOING THROUGH A LOT OF FLUX, AND IT USED THE  
17 ASTELLAS FUNDING TO PROGRESS THE THERAPY GOING  
18 FORWARD.

19 PREVAIL THERAPEUTICS WAS A COMPANY THAT  
20 HAS BEEN ACQUIRED EARLY ON BY LILLY, AND IT IS  
21 CONTINUING TO ADVANCE A NUMBER OF GENE THERAPIES  
22 INTO THE CLINIC.

23 THE LAST THING I WANT TO POINT OUT,  
24 UNIQURE, WHICH IS A COMPANY THAT RECENTLY GOT A BLA  
25 FOR HEMGENIX, WHICH IS FOR BLOOD DISORDERS, BUT IT

**BETH C. DRAIN, CA CSR NO. 7152**

1 ALSO IS PROGRESSING SEVERAL DIFFERENT NEUROLOGICAL  
2 DISORDER CANDIDATES, INCLUDING ONE FOR ALS THAT IT  
3 ACQUIRED FROM APIC BIO THAT WENT INTO THE CLINIC.  
4 IT'S ACTIVELY RECRUITING NEW PROJECTS AND  
5 PROGRESSING ITS OWN PIPELINE. AND, PRESUMABLY,  
6 HAVING A COMMERCIAL STAGE PROJECT IS GOING TO  
7 BENEFIT THIS COMPANY IN TERMS OF ITS REVENUE.

8 SO AS I MENTIONED, THERE ARE SEVERAL  
9 COMPANIES THAT ARE DEVELOPING NEW TECHNOLOGIES FOR  
10 AAV. THESE INCLUDE CAPSIDA ENGINEERING AS WELL AS  
11 GENETIC ENGINEERING. I WANT TO HIGHLIGHT A FEW OF  
12 THESE TO SHOW YOU SOME TRENDS.

13 SO A FEW YEARS AGO SEVERAL COMPANIES WERE  
14 LAUNCHED TO USE AI-DRIVEN CAPSIDA ENGINEERING  
15 TECHNOLOGY. THESE ARE SHAPE THERAPEUTICS AND DYN0  
16 ARE TWO EXAMPLES HERE. AS YOU CAN SEE, BOTH WERE  
17 PARTNERED WITH ROCHE FOR PRETTY LARGE BIOPHARMA, BIO  
18 BUCKS DEALS. THEY'VE ALSO RAISED SIGNIFICANT  
19 VENTURE CAPITAL FUNDING IN 2019, 2021 WHERE IT WAS  
20 RELATIVELY EASY TO RAISE SUCH CAPITAL.

21 THERE WERE SOME COMPANIES, SUCH AS  
22 AFFINIA, APERTURA, THAT ARE TAKING A BROADER  
23 APPROACH. NOT ONLY ARE THEY ENGINEERING NEW CAPSID,  
24 THEY'RE ALSO ENGINEERING NEW PROMOTERS AND  
25 REGULATORY ELEMENTS TO BOTH IMPROVE THE TARGETING AS

**BETH C. DRAIN, CA CSR NO. 7152**

1 WELL AS THE EXPRESSION OF THOSE GENES ONCE THEY'RE  
2 IN THE CELLS. BOTH OF THOSE COMPANIES HAVE RAISED  
3 SIGNIFICANT VENTURE CAPITAL FINANCING IN THE LAST  
4 FEW YEARS.

5 THE LAST COMPANY ON THIS LIST IS A VERY  
6 FOCUSED APPROACH. IT IS DEVELOPING CAPSIDS THAT  
7 PENETRATE THE BLOOD BRAIN BARRIER FOR I.V. DELIVERY  
8 OF GENE THERAPIES FOR NEUROLOGICAL DISORDERS. THIS  
9 COMPANY HAS GONE ON TO HAVE SIGNIFICANT BIOPHARMA  
10 PARTNERSHIPS BECAUSE IT WAS A VERY UNIQUE AND  
11 FOCUSED PLATFORM, INCLUDING WITH ABBVIE, CHRISPR  
12 THERAPEUTICS, AND PREVAIL, WHICH IS THE LILLY  
13 COMPANY MORE RECENTLY.

14 ONE AREA THAT'S SOMEWHAT ENCOURAGING IS  
15 THAT THERE A LOT OF DIFFERENT NONPROFIT AS WELL AS  
16 CONSORTIA APPROACHES TO DEVELOPING RARE DISEASE GENE  
17 THERAPIES FOR NEUROLOGICAL DISORDERS. THERE'S THE  
18 AMP BGTC THAT CIRM PARTICIPATES IN. THIS IS THE  
19 ACCELERATING MEDICINES PARTNERSHIP, BESPOKE GENE  
20 THERAPY CONSORTIUM. IT'S A COLLABORATION BETWEEN  
21 NIH, FDA, AND OVER 20 INDUSTRY PARTNERS TO DEVELOP A  
22 BLUE BOOK TO PROGRESS GENE THERAPIES THAT ARE IN  
23 ULTRA RARE DISEASES FROM PRE-IND THROUGH PHASE 1  
24 CLINICAL TRIALS. AND MANY OF THE INDICATIONS THAT  
25 PRESUMABLY FALL INTO THIS CATEGORY ARE GOING TO BE

1 NEUROLOGICAL DISORDERS.

2 SIMILARLY, THERE ARE OTHER GROUPS SUCH AS  
3 CURESPG50, COLUMBUS CHILDREN'S FOUNDATION, ODYLIA,  
4 AND MILA'S MIRACLE FOUNDATION, ALL OF WHICH ARE  
5 DEVELOPING DIFFERENT TYPES OF GENE THERAPIES FOR  
6 THESE RARE DISEASES.

7 ONE THING I WANT TO NOTE ON THIS SLIDE IS  
8 THAT A LOT OF THESE GROUPS ARE RELYING ON INDUSTRY  
9 PARTNERS TO BE ABLE TO PROGRESS THESE BESPOKE  
10 THERAPIES THROUGH PRE-IND, IND-ENABLING STUDIES, AS  
11 WELL AS CLINICAL TRIALS.

12 A LOT OF THESE INDUSTRY GROUPS ARE  
13 PROVIDING THESE SERVICES AS IN-KIND, DISCOUNTED  
14 SERVICES, OR THEY'RE PROVIDING SPECIALIZED ACCESS TO  
15 THOSE PROJECTS. HERE ARE SOME EXAMPLES OF THOSE.  
16 FOR EXAMPLE, CHARLES RIVER LABS, WHICH IS A MAJOR  
17 CONTRACT RESEARCH ORGANIZATION AND A MANUFACTURING  
18 ORGANIZATION, IS PROVIDING ANIMAL MODELS, TESTING,  
19 AND IND SERVICES TO SEVERAL DIFFERENT NONPROFIT  
20 GROUPS DEVELOPING THESE RARE DISEASE GENE THERAPIES.

21 SIMILARLY, CONTRACT MANUFACTURING  
22 ORGANIZATIONS SUCH AS VIRALGEN, WHICH IS OWNED BY  
23 BAYER, AND ANDELYN, WHICH WAS SPUN OUT FROM  
24 NATIONWIDE CHILDREN'S HOSPITAL, ARE ALSO PROVIDING  
25 MANUFACTURING SERVICES TO THESE GROUPS. OFTENTIMES

**BETH C. DRAIN, CA CSR NO. 7152**

1 IT CAN INCLUDE IN-KIND MANUFACTURING, DISCOUNTED  
2 MANUFACTURING, AND SO ON.

3 LASTLY, I WANT TO END ON NOTING THE  
4 CHALLENGE FOR DEVELOPING THERAPIES FOR ALZHEIMER'S  
5 DISEASE. AND SO THIS WAS A STUDY THAT WAS CONDUCTED  
6 SHORTLY AFTER ADUCANUMAB WAS APPROVED, ADUHELM FROM  
7 BIOGEN. AND SO IT ESTIMATED THAT THERE WERE ABOUT  
8 235 AGENTS FOR ALZHEIMER'S DISEASE TO ENTER INTO  
9 CLINICAL DEVELOPMENT BETWEEN 1995 AND 2021. AND OF  
10 THOSE, SIX WERE COMMERCIALIZED, INCLUDING  
11 ADUCANUMAB. AND SO THEY HAD CALCULATED BASED ON  
12 THAT THE FAILURE RATE WAS 95 PERCENT. AND THEN IT  
13 WENT ON TO CALCULATE HOW MUCH INDUSTRY FUNDING HAS  
14 ACTUALLY GONE INTO THIS BASED ON ESTIMATIONS OF WHAT  
15 IT COSTS TO DO PHASE 1, 2, AND 3 CLINICAL TRIALS FOR  
16 ALZHEIMER'S DISEASE CANDIDATES. AND IT ESTIMATED  
17 ABOUT \$42.5 BILLION IN INDUSTRY FUNDING HAS GONE  
18 INTO THIS FIELD SINCE 1995 THROUGH 2021. AND IT  
19 LAYS OUT SOME OF THOSE COST STRUCTURES THERE OF  
20 PHASE 1 TO PHASE 3 COSTS OUT OF POCKET TO BE \$462  
21 MILLION.

22 SO BASED ON THESE CALCULATIONS, THERE WAS  
23 A 2014 PAPER THAT ESTIMATED THAT IT TAKES ABOUT 13  
24 YEARS AND \$5.7 BILLION TO MARKET AN ALZHEIMER'S  
25 DISEASE DRUG CANDIDATE. NOW, I WANT TO BREAK THAT

**BETH C. DRAIN, CA CSR NO. 7152**

1 NUMBER DOWN A LITTLE BIT. SO YOU'VE SEEN NUMBERS  
2 THAT ARE AROUND 1.5 OR \$2 BILLION OF DRUG  
3 DEVELOPMENT COST FOR A PARTICULAR DRUG. SO WHAT  
4 THOSE NUMBER TAKE INTO THAT IS THE FACT THAT THERE'S  
5 THE OUT-OF-POCKET COSTS OF PRECLINICAL AND CLINICAL  
6 DEVELOPMENT PLUS FACTORING INTO THE FAILURE RATE AT  
7 EACH OF THOSE STAGES, SO PRECLINICAL, PHASE 1, PHASE  
8 2, PHASE 3, AND THEN THE COST OF CAPITAL. SO  
9 BASICALLY A DISCOUNTED RATE APPLIES TO THAT FOR WHAT  
10 IS THE COST OF DEDICATING ALL THAT CAPITAL TO THAT  
11 PROJECT FOR THAT NUMBER OF YEARS. THAT'S THE 1.5 TO  
12 \$2 BILLION.

13 BECAUSE OF THE FAILURE RATE ASSOCIATED  
14 WITH ALZHEIMER'S DISEASE, THAT NUMBER SHOOTS UP TO  
15 \$5.7 BILLION ESTIMATE FOR THIS PARTICULAR FIELD  
16 BECAUSE OF THE LARGE NUMBER OF FAILURE RATES  
17 HAPPENING AT PHASE 1, 2, AND 3 CLINICAL TRIALS.

18 ONE OF THE THINGS THAT THIS PAPER  
19 HIGHLIGHTS IS THAT THE RATE OF GROWTH IN R&D  
20 SPENDING HAS SLOWED IN RECENT YEARS. AND IT HAS A  
21 CHART IN THERE SHOWING THAT. I DIDN'T PUT IT ON  
22 HERE. BUT MORE RECENTLY THERE HAVEN'T BEEN AS MANY  
23 CLINICAL TRIALS IN ALZHEIMER'S DISEASE DRUG  
24 CANDIDATE DEVELOPMENT.

25 ONE THING I DO WANT TO NOTE IS THAT THIS

**BETH C. DRAIN, CA CSR NO. 7152**

1 DOES NOT INCLUDE LEQEMBI WHICH WAS APPROVED AFTER  
2 THE PAPER WAS PUBLISHED.

3 IN SUMMARY, JUST GOING TO SUMMARIZE ALL  
4 THE SLIDES I PRESENTED SO FAR. SO FIRST OF ALL, THE  
5 BIOTECH COMPANIES THAT CIRM FUNDS IN NEUROLOGY TEND  
6 TO SPAN ALL STAGES OF DEVELOPMENT AND FINANCING.  
7 AND THEN ON THE REALLY SPECIFIC FIELD OF PLURIPOTENT  
8 STEM CELL-BASED CELL THERAPIES FOR PARKINSON'S  
9 DISEASE, THERE CONTINUES TO BE STRONG INDUSTRY AND  
10 ACADEMIC COLLABORATIONS THAT ARE DRIVING THOSE INTO  
11 THE CLINIC.

12 PRECLINICAL AND CLINICAL DEVELOPMENT OF  
13 CELL-BASED THERAPIES FOR GLIOBLASTOMA ARE BEING  
14 DRIVEN BY ACADEMIC INSTITUTIONS, AND WE ARE  
15 CURRENTLY FUNDING EIGHT SUCH PROGRAMS.

16 WHILE SOME LATE STAGE AAV GENE THERAPY  
17 COMPANIES HAVE FACED RECENT FINANCIAL DIFFICULTIES,  
18 THE INDUSTRY CONTINUES TO SUPPORT NEW BIOTECHS  
19 DEVELOPING ENGINEERING PLATFORMS TO IMPROVE AAV  
20 SAFETY AND EFFICACY.

21 AS I MENTIONED, THERE ARE SEVERAL  
22 NONPROFIT MODELS THAT ARE PROGRESSING AAV GENE  
23 THERAPIES FOR RARE DISEASES TO THE CLINIC. AND  
24 THEY'RE DOING SO IN PARTNERSHIP WITH INDUSTRY CRO'S  
25 AND CDMO'S.

**BETH C. DRAIN, CA CSR NO. 7152**

1 AND THEN LASTLY, OVER 25 YEARS OF  
2 INVESTING IN CLINICAL DEVELOPMENT OF ALZHEIMER'S  
3 DISEASE TREATMENTS HAS RESULTED IN ONLY SEVEN  
4 FDA-APPROVED TREATMENTS TO DATE.

5 SO WITH THAT, I'LL PAUSE MY PRESENTATION.  
6 THANK YOU.

7 CHAIRMAN GOLDSTEIN: THANK YOU VERY MUCH,  
8 SHYAM. THAT WAS INCREDIBLY HELPFUL.

9 SO THIS WAS DESIGNED TO GIVE US A SENSE OF  
10 WHAT THE COMMERCIAL AND ACADEMIC LANDSCAPE IN  
11 CALIFORNIA IS LIKE INDEPENDENT OF CIRM FUNDING FOR  
12 THE MOST PART. THIS WILL HELP US AS WE THINK ABOUT  
13 PLANNING. SO QUESTIONS FOR SHYAM. MAYBE I'LL MAKE  
14 A COMMENT. SO PREDICTING THE FUTURE IS ALWAYS A  
15 DODGY BUSINESS. I'LL COME BACK TO THAT. J.T., GO  
16 AHEAD.

17 DR. THOMAS: THANKS VERY MUCH, SHYAM.  
18 JUST CURIOUS. THERE'S SOME SORT OF NOTABLE ABSENCES  
19 OF COMPANIES DEALING WITH CERTAIN NEUROLOGICAL  
20 DISORDERS. MAYBE I MISSED IT, BUT HUNTINGTON'S, FOR  
21 EXAMPLE, WHAT ARE THE -- WHAT'S SORT OF THE INDUSTRY  
22 TAKE THESE DAYS ON THE LIKELIHOOD OF GETTING  
23 COMPANIES DEALING WITH THOSE NEUROLOGICAL DISORDERS  
24 THAT CURRENTLY DON'T HAVE ANY TAKERS BECAUSE OF THE  
25 R&D DIFFICULTIES, ET CETERA? WHAT'S PROVING --

**BETH C. DRAIN, CA CSR NO. 7152**

1 OBVIOUSLY ALZHEIMER'S HAS HAD ALL SORTS OF PROBLEMS.  
2 WHAT ELSE IS PROVING TO BE HUGELY PROBLEMATIC AT  
3 THIS STAGE OF THE GAME?

4 DR. PATEL: SO I WOULD GO BACK TO THE  
5 SLIDE AROUND THE AAV GENE THERAPIES FOR RARE  
6 DISEASES. IN THOSE INSTANCES, SEVERAL OF THE  
7 COMPANIES THAT I LISTED OFF ON THAT PRESENTATION  
8 WERE COMPANIES THAT HAVE PRETTY GOOD CLINICAL DATA  
9 AND ARE CONTINUING TO GET POSITIVE SIGNALS IN THEIR  
10 TRIALS. AND THEY WERE JUST NOT ABLE TO RAISE  
11 ADDITIONAL FUNDING FROM EITHER THE PUBLIC OR PRIVATE  
12 CAPITAL MARKETS BECAUSE OF THE COST IT'S GOING TO  
13 TAKE TO GET THOSE TO APPROVAL THROUGH THE REGULATORY  
14 PATHWAY. SO I THINK THAT'S BEEN ONE OF THE  
15 CHALLENGES, THIS SORT OF DYNAMIC OF DOING QUICK  
16 TRIALS TO GET THESE THERAPIES TO APPROVAL MAY NOT BE  
17 AS QUICK AS WAS ORIGINALLY ESTIMATED A FEW YEARS  
18 AGO.

19 I DON'T KNOW IF, ROSA, YOU WANT TO ADD TO  
20 THAT.

21 DR. CANET-AVILES: THANK YOU, SHYAM.  
22 THERE ARE TWO OTHER ELEMENTS TO CONSIDER. ONE IS  
23 THAT, FOR EXAMPLE, FOR ALZHEIMER'S DISEASE, THERE'S  
24 ALWAYS BEEN THE PATHWAY; HOWEVER, THERE ARE OTHER  
25 MECHANISMS OF DISEASE THAT WE HAVE NOT BEEN

**BETH C. DRAIN, CA CSR NO. 7152**

1 TARGETING IN THESE THERAPIES. SO THAT'S ONE PART  
2 WHERE THERE CAN BE INVESTMENT. AND THE COMPANIES  
3 RELY ON EARLY ACADEMIC RESEARCH TO FUND.

4 ANOTHER THING IS THE DEVELOPMENT OF  
5 BIOMARKERS, FOR EXAMPLE, THAT HELP US STRATIFY THE  
6 POPULATION SO THAT WE CAN HAVE EFFECTIVE CLINICAL  
7 TRIALS. SOMETIMES (UNINTELLIGIBLE) BECAUSE WE  
8 HAVEN'T ACTUALLY STRATIFIED THE POPULATIONS  
9 CORRECTLY.

10 AND ANOTHER PLACE WHERE THERE CAN BE  
11 INVESTMENT IS IN THE DEVELOPMENT OF DELIVERY  
12 MECHANISMS, THE WHOLE DELIVERY TO THE BRAIN. AND  
13 TARGETING THE RIGHT CELL TYPES IS ANOTHER PLACE. SO  
14 THOSE ARE PLACES WHERE I THINK THERE IS A NICHE FOR  
15 CIRM TO INVEST, BUT IT'S MORE EARLIER IN THE PART.  
16 AS DR. GOLDSTEIN MENTIONED, THIS IS NOT THE TOPIC OF  
17 TODAY.

18 DR. CREASEY: IF I CAN ECHO WHAT ROSA  
19 SAID, WHEN IT COMES TO CLINICAL MECHANISMS, NEW  
20 TECHNOLOGIES FOR DRUG DELIVERY ARE VERY IMPORTANT IN  
21 AREAS WHERE HOW DO YOU REACH TO THE RIGHT CELL AND  
22 THE RIGHT PART OF THE BRAIN. AND THAT KIND OF  
23 TECHNOLOGY WILL DO WELL FOR US FOR THE PATIENT.

24 FORMULATION OF THE MATERIALS. AGAIN, SO  
25 IT'S NOT -- MECHANISM IS IMPORTANT, KNOWING EXACTLY

**BETH C. DRAIN, CA CSR NO. 7152**

1 WHAT CELL IS INVOLVED IN WHAT PART OF THE BRAIN, AND  
2 HOW TO GET THE THERAPY THERE. AND THAT WILL WORK  
3 WELL WITH ALMOST -- AND, AGAIN, WITH APPROPRIATE  
4 BIOMARKERS, AGAIN, WITH THE STRATIFYING THE  
5 PATIENTS. BUT I THINK THE PATH IS TO VALIDATE THE  
6 BIOMARKERS AND SHOW THAT THEY ARE ACTUALLY EXISTING  
7 IN THE HUMAN POPULATION AND THEN REPEAT THAT CYCLE  
8 AND DEMONSTRATE THE VALUE OF THAT KIND OF EVALUATION  
9 OF THE WHOLE CIRCLE.

10 CHAIRMAN GOLDSTEIN: FRED. PLEASE.

11 DR. FISHER: I APOLOGIZE. I'M FEELING A  
12 LITTLE LOST AT THE MOMENT. FORTUNATELY, WE DON'T  
13 HAVE TO GO THROUGH THE GYMNASTICS THAT PHARMA GOES  
14 THROUGH TO JUSTIFY THE COST OF DEVELOPING DRUGS. WE  
15 DON'T ALLOW OUR APPLICANTS TO LOAD IN THE COST OF  
16 BUYER FAILURES INTO WHAT THEY'RE GOING TO PUT INTO  
17 THEIR CIRM BUDGETS.

18 SO I NEED HELP UNDERSTANDING -- CONNECTING  
19 THE DOTS REALLY BETWEEN WHAT IT IS YOU'RE PRESENTING  
20 TO US AND HOW IT HELPS THIS GROUP MOVE FORWARD WITH  
21 OUR TASK. AND, AGAIN, I APOLOGIZE IF I'M JUST BEING  
22 THICK ABOUT IT, BUT IT'S ALL SEEMING LIKE WAY OFF  
23 POINT FOR US TO BE TALKING ABOUT SOME OF THIS STUFF.

24 CHAIRMAN GOLDSTEIN: SO WHY DON'T I HANDLE  
25 THAT ONE BECAUSE THAT'S ON ME. WE HAVEN'T DONE AN

**BETH C. DRAIN, CA CSR NO. 7152**

1 ADEQUATE JOB EXPLAINING WHY WE'RE GOING THROUGH  
2 THIS. I THINK THE REASON IT'S RELEVANT, FRED, IS  
3 THAT CIRM DOESN'T EXIST IN A VACUUM. WE ARE  
4 SURROUNDED BY ACTIVE FINANCING OF A VARIETY OF  
5 COMPANIES DOING RESEARCH IN THE FIELD.

6 I THINK ONE POINT WOULD BE THERE IS A LOT  
7 OF MONEY INVESTED FROM THE PRIVATE SECTOR CURRENTLY.  
8 AND, TWO, IT'S NOT OBVIOUS TO ME, AT LEAST, THAT THE  
9 PRIVATE SECTOR PRIORITIES ARE RADICALLY DIFFERENT  
10 THAN CIRM PRIORITIES. WHAT IS DIFFERENT IS COST OF  
11 CAPITAL AND THE DEGREE OF DIFFICULTY THAT AFFECTS US  
12 ALL IN PROGRESSING THERAPIES. SO IT'S NOT THE CASE  
13 THAT INDUSTRY SOMEHOW IS INVESTED IN COMPLETELY  
14 DIFFERENT AREAS THAN WHAT CIRM IS LOOKING AT. I  
15 THINK WE ARE ALL IN A SENSE LOOKING UNDER THE  
16 STREETLIGHT WHERE WE THINK THERE ARE CURRENT  
17 OPPORTUNITIES. AND I DON'T THINK THAT INDUSTRY HAS  
18 FOUND THINGS THAT CIRM HASN'T FOUND AND VICE VERSA.

19 THERE'S NO HIDDEN PORTFOLIO OUT THERE  
20 WOULD BE THE POINT, I THINK. I HOPE THAT HELPS YOU.

21 DR. FISHER: WELL, I CERTAINLY AGREE WITH  
22 THAT STATEMENT. AND THANK YOU.

23 CHAIRMAN GOLDSTEIN: OKAY. GLAD TO BE OF  
24 USE.

25 DR. FISHER: THERE'S NO WORSE PLACE TO PUT

**BETH C. DRAIN, CA CSR NO. 7152**

1 MONEY IF YOU'RE A DRUG DEVELOPER OTHER THAN  
2 NEURODEGENERATIVE DISEASE. IT'S BEEN PROVEN OVER  
3 AND OVER. THE FAILURE RATE FOR ALS, FOR EXAMPLE,  
4 WHICH I KNOW A LITTLE BIT ABOUT, LIKE YOU'RE ALMOST  
5 GUARANTEED TO LOSE YOUR SHIRT PUTTING ANY MONEY INTO  
6 ALS THERAPY DEVELOPMENT BECAUSE 99.999 TIMES OUT OF  
7 A HUNDRED YOUR PHASE 2 OR PHASE 3 TRIAL WILL FAIL.  
8 IT'S JUST THE TRACK RECORD.

9 SO THE FACT THAT CIRM CAN PLAY AN EARLY  
10 STAGE DERISKING ROLE, NOT JUST WITH ALS, BUT OTHER  
11 DISEASES, IS HUGE LEVERAGE THAT THOSE COMPANIES CAN  
12 USE. I THINK WE ALREADY KNOW THAT OURSELVES, AND I  
13 THINK WE ALREADY KNOW THAT ABOUT THE SPACES WE ARE  
14 WORKING IN. AND I'M GRATEFUL THAT THE VOTERS OF  
15 CALIFORNIA PROVIDED THE MONEY TO DO THE WORK TO AS  
16 MUCH AS POSSIBLE DERISK THE ENORMOUS COST TO THE  
17 PRIVATE SECTOR FOR DEVELOPING THESE DRUGS.

18 CHAIRMAN GOLDSTEIN: GREAT POINT, FRED.  
19 THAT'S VERY HELPFUL.

20 I'D ALSO JUST MENTION IN PASSING RAISING  
21 CAPITAL IS MORE DIFFICULT IN THE EARLY STAGES, FOR  
22 EXAMPLE, AND WE MAY SEE AN INCREASE IN APPLICATIONS  
23 THERE. LAUREN.

24 MS. MILLER-ROGEN: THE ALZHEIMER'S HILL  
25 THAT WE'RE ALL CLIMBING IS CERTAINLY STEEP AND FULL

**BETH C. DRAIN, CA CSR NO. 7152**

1 OF HEAVY BOULDERS, BUT STILL WE CLIMB. AND I HAD A  
2 CALL ACTUALLY EARLIER TODAY WITH PEOPLE FROM THE  
3 BILL GATES FOUNDATION, WHO ARE OBVIOUSLY VERY  
4 FOCUSED ON ALZHEIMER'S, BUT SPECIFICALLY THOUGHT I  
5 WOULD MENTION IT. I DON'T KNOW IF WE'VE CONNECTED  
6 WITH THEM ON THEIR WORK, BUT THEY'RE VERY  
7 SPECIFICALLY INTERESTED. I'LL JUST READ IT TO MAKE  
8 SURE I'M GETTING IT CORRECTLY. THEY FOCUS ON  
9 BIOMARKER DEVELOPMENT AND NOVEL DIAGNOSTIC  
10 TECHNOLOGIES THAT WILL AID IN ALZHEIMER'S DISEASE,  
11 YADA, YADA, LIKE BLOOD TESTS, EYE SCANS, DIGITAL  
12 TOOLS, ET CETERA.

13 SO I WONDERED IF THERE HAD BEEN DISCUSSION  
14 ABOUT POTENTIALLY ANY OF THEIR WORK BEING DONE IN  
15 CALIFORNIA AND COLLABORATIONS ON THAT END BECAUSE  
16 THEY'RE CERTAINLY VERY DEDICATED TO THAT PARTICULAR  
17 DISEASE AND PROGRESS IN THAT.

18 CHAIRMAN GOLDSTEIN: I THINK THAT --

19 DR. CANET-AVILES: CAN I ADD? WE HAD AN  
20 INITIAL -- AS YOU RECALL, LAUREN, WE HAD A WORKSHOP  
21 LAST YEAR IN FEBRUARY WHERE WE INVITED MEMBERS FROM  
22 THE CENTRAL NERVOUS SYSTEM CONSORTIUM TO TALK ABOUT  
23 WHAT THE FUTURE OF CIRM COULD BE IF WE WERE GOING TO  
24 DO COLLABORATIVE DATA, THE STRUCTURE. AND ONE OF  
25 THE THINGS THAT THE GATES FOUNDATION HAS BEEN DOING,

**BETH C. DRAIN, CA CSR NO. 7152**

1     THEY DEVELOPED THE ALZHEIMER'S DISEASE WORKBENCH,  
2     WHICH IS A NEW PLATFORM THAT THEY ARE COLLABORATING  
3     WITH DIFFERENT GROUPS TO LEVERAGE DATA TO FURTHER  
4     THE DEVELOPMENT OF ALZHEIMER'S DISEASE AND RELATED  
5     DEMENTIAS. WE HAD PATRICK BRANNELLY AND SOMEBODY  
6     ELSE.

7             SO WE HAVE INTERACTED WITH THEM, AND THESE  
8     ACTUALLY COULD BE A GOOD POINT TO BRING UP WHEN WE  
9     TALK ABOUT EARLIER DISCOVERY CONSORTIA TYPE AND  
10    MULTIDISCIPLINARY THINGS TYPE OF THINKING THAT WE'VE  
11    KIND OF MENTIONED BEFORE AND DR. GOLDSTEIN MIGHT  
12    BRING AT A LATER STAGE.

13            CHAIRMAN GOLDSTEIN: GREAT. THANK YOU  
14    VERY MUCH, ROSA. THAT'S HELPFUL.

15            SO I THINK WE ARE, FOR THE MOMENT AT  
16    LEAST, ADEQUATELY INFORMED ON BACKGROUND FROM CIRM.  
17    I'LL JUST POINT OUT THAT PRIOR TO SEEING THE  
18    INFORMATION THAT CIRM HAS ACCUMULATED, I HAD  
19    WONDERED WHETHER THERE'S SOME MISSING PART OF  
20    NEURODEGENERATION IN PARTICULAR THAT WE WERE  
21    MISSING. I DON'T THINK THAT THERE'S ANY EASY WINS  
22    THAT I SEE IN NEURODEGENERATION, AT LEAST AT THE  
23    MOMENT, BUT THE WORK NEEDS TO CONTINUE.

24            SO THAT BRINGS ME TO THE TOPIC OF HOW  
25    SHOULD WE PROCEED AS A TASK FORCE IN OUR PLANNING IN

**BETH C. DRAIN, CA CSR NO. 7152**

1 TERMS OF STRUCTURE AND WHERE SHOULD WE FOCUS. MY  
2 INITIAL THOUGHT WHEN THE TASK FORCE WAS FIRST  
3 CREATED WAS THAT WE SHOULD HOLD A SERIES OF LONG  
4 MEETINGS BETWEEN NOW AND THE END OF JUNE SO AS TO  
5 DEVELOP A COMPLETE PLAN FOR A BILLION AND A HALF  
6 DOLLARS BY THE END OF JUNE FOR THE ICOC TO CONSIDER  
7 AND THEN PASS.

8 TWO FACTORS HAVE MADE ME THINK THAT I WAS  
9 COMPLETELY WRONG ABOUT THAT APPROACH. ONE IS THE  
10 KIND OF INFORMATION THAT ROSA AND SHYAM JUST  
11 PRESENTED, WHICH, AS I JUST MENTIONED, I DON'T THINK  
12 THAT THERE'S A MISSING PART OF NEURODEGENERATION  
13 THAT WE'RE NOT ADEQUATELY INVESTED IN. IF SOMEBODY  
14 CAME FORWARD WITH A NEW, INTERESTING PROPOSAL IN  
15 THAT AREA, WE, CIRM, WOULD JUMP ON IT.

16 SO I THINK WHAT I WOULD CALL THE FORCED  
17 MARCHED PLAN, TO TRY TO GET ALL THE PLANNING DONE BY  
18 THE END OF JUNE, IS PROBABLY REALLY NOT WORKABLE  
19 GIVEN PEOPLE'S SCHEDULES AND OTHER COMMITMENTS.

20 THE OTHER POSSIBILITY THAT I'VE DISCUSSED  
21 WITH SOME FOLKS AT CIRM AND WITH SOME OUTSIDE GROUPS  
22 IS, WHEN YOU LOOK AT THE CIRM PORTFOLIO AND TO A  
23 LESSER EXTENT THE INDUSTRY PORTFOLIO, IT IS CLEAR TO  
24 ME, AT LEAST, AND SOME OTHERS, THAT WE ARE NOT  
25 ADEQUATELY INVESTED IN NEUROPSYCHIATRIC DISORDERS.

**BETH C. DRAIN, CA CSR NO. 7152**

1 THESE ARE A COLLECTION OF, AS YOU KNOW, DEVASTATING  
2 DISORDERS THAT OFTEN HIT EARLY IN LIFE AS IN THE  
3 CASE OF SCHIZOPHRENIA AND WHERE THERE'S QUITE A BIT  
4 OF EVIDENCE THAT THERE ARE SUBSTANTIAL GENETIC  
5 CONTRIBUTIONS TO THOSE DISORDERS. OPIOID USE  
6 DISORDERS IS ANOTHER ONE THAT ACTUALLY FITS THAT  
7 BILL TO MY SURPRISE THAT I'VE LEARNED ABOUT WHERE  
8 THERE IS SIGNIFICANT GENETIC CONTRIBUTIONS.

9 AND THE REASON I BRING THAT UP IS THAT  
10 DISEASE MODELING IN STEM CELLS AND THEN PROGRESSION  
11 USING STEM CELLS IS QUITE A BIT MORE STRAIGHTFORWARD  
12 IN MANY CASES IF THERE ARE SUBSTANTIAL GENETIC  
13 CONTRIBUTIONS. NOW, OBVIOUSLY THAT'S NOT TRUE IN  
14 THE CASE OF INJURY OR STROKE TO MY KNOWLEDGE. BUT  
15 IT LEADS ME TO SUGGEST THAT, RATHER THAN TRYING TO  
16 PLAN THE ENTIRE \$1.5 BILLION IN THE NEXT SIX MONTHS  
17 OR FOUR MONTHS ACTUALLY AT THIS POINT, THAT WE  
18 INSTEAD DEVOTE OUR ATTENTION TO AREAS WHERE WE THINK  
19 CIRM MAY BE UNDERINVESTED IN AT THE MOMENT AND SEE  
20 IF WE CAN DEVELOP A WORKABLE PLAN MOVING FORWARD.  
21 AND THEN FOLLOWING THE JUNE ICOC MEETING, WHERE I  
22 WOULD HOPE WE WOULD GET APPROVAL OF SUCH A PLAN FOR  
23 NEUROPSYCHIATRIC DISORDERS, TO THEN THINK ABOUT  
24 TACKLING NEURODEGENERATIVE DISORDERS TO SEE IF  
25 THERE'S SOMETHING MISSING, ALTHOUGH I SUSPECT THAT

**BETH C. DRAIN, CA CSR NO. 7152**

1 THERE'S NOT A BIG HOLE IN THE CIRM PORTFOLIO.

2 SO THOSE ARE THE TWO MAIN WAYS OF  
3 PROCEEDING, I THINK. AND LET'S SEE IF PEOPLE HAVE  
4 DISCUSSION POINTS, QUESTIONS, OR COMMENTS ABOUT  
5 PROCEEDING WITH THE NOTION OF LET'S TAKE AN AREA  
6 WHERE WE ARE UNDERINVEST, SUCH AS NEUROPSYCHIATRIC,  
7 DO OUR PLANNING WORK THERE TO START, WHICH WILL BE A  
8 PART OF THE ONE AND A HALF BILLION, BUT NOT THE  
9 ENTIRE ONE AND A HALF BILLION, AND THEN COME BACK TO  
10 SOME OF THESE OTHER AREAS. THOUGHTS? PAT.

11 DR. LEVITT: SO I THINK THE HOLE IS IN  
12 LARGE PART DUE TO THE VERY DIFFERENT LEVEL OF  
13 CHALLENGE, SCIENTIFIC CHALLENGE, AND COMPLEXITY,  
14 HETEROGENEITY, SINGLE GENE DISORDERS THAT IN  
15 PSYCHIATRY ARE RARE. AND SO IT'S MULTIGENIC, WHICH  
16 MAKES IT REALLY CHALLENGING IN TERMS OF GENE  
17 THERAPY. SO PEOPLE ON THIS CALL CAN GO THROUGH A  
18 PLETHORA OF WHAT THE CHALLENGES ARE.

19 I DON'T DISAGREE WITH TRYING TO COME UP  
20 WITH A PLAN THAT INCLUDES THAT BECAUSE IT'S WOEFULLY  
21 UNDERSOURCED NOW. NOT BECAUSE CIRM DOESN'T WANT TO  
22 DO IT, BUT BECAUSE THERE'S JUST NOT ENOUGH ACTIVITY  
23 THERE THAT RECOGNIZES THE OPPORTUNITIES THAT CIRM  
24 PROVIDE, WHETHER IT'S DISCOVERY OR SOMETHING MORE  
25 ADVANCED.

**BETH C. DRAIN, CA CSR NO. 7152**

1           WHEN YOU SAY WE'RE NOT UNDERRESOURCED IN  
2           TERMS OF NEURODEGENERATION, WHEN I HEAR THAT OUR  
3           PORTFOLIO'S ABOUT A LITTLE BIT LIKE 10 OR 11 PERCENT  
4           OF THE \$1.41 BILLION, AND IT'S MOSTLY FOCUSED ON  
5           NEURODEGENERATION, CANCER, AND SOME OCULAR  
6           COMPONENTS, THOSE ARE ALL GREAT. I DON'T THINK  
7           WE'RE GOING TO MAKE UP THE OTHER 90 PERCENT WITH  
8           FOCUSING ON NEUROPSYCHIATRIC DISORDERS. SO I DO  
9           THINK THAT HAVING A BROADER PERSPECTIVE AND  
10          RECOGNIZING THAT, AND \$1.4 BILLION IS A LOT OF  
11          MONEY, AND WE ARE NOT EVEN CLOSE TO THERE YET EVEN  
12          WITH THE FOCUS IN AREAS THAT SEEM MORE AMENABLE OR  
13          MORE TRACTABLE.

14                 SO I THINK I WOULD CAUTION AGAINST BEING  
15          REALLY FOCUSED IN AN AREA WHICH EVERYBODY AGREES IS  
16          INCREDIBLY DIFFICULT. EVEN AT THE BASIC SCIENCE  
17          LEVEL, IT'S INCREDIBLY DIFFICULT.

18                 CHAIRMAN GOLDSTEIN: FAIR ENOUGH, PAT. I  
19          THINK MY POINT WOULD BE, NOT THAT THAT WOULD BE OUR  
20          ONLY ACTIVITY INDEFINITELY, BUT WE HAVE TO START  
21          SOMEWHERE, I THINK. AND SO TAKING A BITE OUT OF AN  
22          AREA WHERE WE'RE UNDERINVESTED HAS STRUCK ME AND  
23          SOME OTHERS AS A USEFUL THING TO DO. AL.

24                 MR. ROWLETT: CERTAINLY THE AREA OF  
25          NEUROPSYCHIATRIC DISORDERS AND POTENTIALLY A STUDY

**BETH C. DRAIN, CA CSR NO. 7152**

1 THAT MODELS IPSC CELLS AND SCHIZOPHRENIA, WHILE  
2 UNDERSTANDING THAT MY HOPE WOULD BE THAT AT SOME  
3 POINT CIRM COULD FUND SUCH A STUDY, I RECOGNIZE THAT  
4 THERE'S AN EXTRAORDINARILY DIFFICULT LIFT WITH THAT.  
5 AND YET, I ALSO UNDERSTAND THAT, AS A PATIENT  
6 ADVOCATE, WHEN I SPEAK TO THE CITIZENS IN THE AREA  
7 OF NEUROPSYCHIATRIC DISORDERS THAT I GET TO WORK  
8 WITH ALL THE TIME, THAT'S ONE OF THE QUESTIONS THEY  
9 WOULD ASK ME. SO WHAT WORK ARE YOU DOING TO ADVANCE  
10 STUDIES THAT AMELIORATE OR ADDRESS SCHIZOPHRENIA  
11 BECAUSE IT'S SO DEVASTATING?

12 AND THEY CAN ARTICULATE IT VERY PLAINLY  
13 AND SIMPLY, THAT SCHIZOPHRENIA HAS A DEVASTATING  
14 EFFECT ON PATIENTS, ON FAMILIES, ET CETERA. AND SO  
15 I AM ENTHUSIASTICALLY IN SUPPORT OF THAT. AND MAYBE  
16 EVEN, AS I THINK ABOUT WHAT MARV SAID ABOUT AN HOUR  
17 AGO, DOUBLE DOWNING ON SOME OF HIS NOTIONS ABOUT  
18 NEUROPSYCHIATRIC DISORDERS. YES, LARRY, YOU'VE GOT  
19 MY ENTHUSIASTIC SUPPORT ABOUT THAT PLAN.

20 CHAIRMAN GOLDSTEIN: THANK YOU, AL. MARK.  
21 YOUR HAND'S NOT UP ANYMORE. DID I MISS SOMETHING?

22 DR. FISCHER-COLBRIE: NO. I PULLED IT  
23 BACK. THANK YOU.

24 CHAIRMAN GOLDSTEIN: OKAY. SO JUDY.

25 DR. GASSON: SORRY. I WAS GOING TO GO

**BETH C. DRAIN, CA CSR NO. 7152**

1 AFTER MARK.

2 I WANT TO ADD TO WHAT AL HAS JUST SAID AND  
3 TO FULLY ENDORSE WHAT YOU'VE ARTICULATED, LARRY. I  
4 THINK THAT THERE'S NO DOUBT THAT THIS IS GOING TO BE  
5 A CHALLENGING UNDERTAKING. BUT I THINK THAT WE HAVE  
6 A RESPONSIBILITY, BASED UPON THE VOTERS OF  
7 CALIFORNIA APPROVING PROP 14, TO AT LEAST BEGIN A  
8 PROCESS WHERE WE CAN START TO TRY TO UNDERSTAND THE  
9 GENETIC BASIS OF SOME OF THESE DISORDERS THROUGH  
10 MODELING, THROUGH THE DEVELOPMENT OF LARGE COHORTS.

11 I THINK IT ALSO PROVIDES US WITH AN  
12 OPPORTUNITY, IF WE LOOK AT WHERE THE DISPARATE  
13 BURDEN OF SOME OF THESE DISEASE FALLS, IT PROVIDES  
14 US WITH AN OPPORTUNITY TO INCORPORATE OUR  
15 AFFORDABILITY AND ACCESSIBILITY CHARGE, WHICH IS  
16 ALSO LAID OUT IN PROP 14, BY MAKING SURE THAT THE  
17 RESEARCH IS NOT DONE SOLELY, AS IT FREQUENTLY HAS  
18 BEEN IN THE PAST, USING POPULATIONS OF PRIMARILY  
19 EUROPEAN DESCENT, BUT TO LOOK MUCH MORE BROADLY  
20 ACROSS CALIFORNIA, WHICH, OF COURSE, SOUTHERN  
21 CALIFORNIA HAS THE MOST ETHNICALLY DIVERSE  
22 POPULATIONS IN THE COUNTRY. WE LOOK LIKE THE REST  
23 OF THE COUNTRY IS GOING TO LOOK EVENTUALLY.

24 SO FOR THOSE TWO REASONS, I'M VERY  
25 ENTHUSIASTIC ABOUT YOUR PROPOSAL. THANK YOU.

**BETH C. DRAIN, CA CSR NO. 7152**

1                   CHAIRMAN GOLDSTEIN:  THANK YOU, JUDY.

2                   FRED.

3                   DR. FISHER:  SO I'M JUST LEARNING ALL OF  
4                   THE THINGS THAT GO INTO THE NEURO BUCKET, SO TO  
5                   SPEAK.  AND IT'S A MUCH BIGGER BUCKET THAN I EVER  
6                   IMAGINED.  AS I SAID ON A PRIOR CALL, WHEN YOU LOOK  
7                   AT THE NUMBER OF INDICATIONS THAT ARE AVAILABLE TO  
8                   US, WE ARE NOT TALKING ABOUT A LOT OF MONEY,  
9                   PARTICULARLY GIVEN THE DATA THAT WAS JUST PROVIDED  
10                  WHERE IF IT'S GOING TO COST 1 TO 2 BILLION TO  
11                  DEVELOP A DRUG AND THAT'S BEFORE YOU LOAD IN ALL THE  
12                  OTHER COSTS THAT PHARMA DOES WHEN THEY TALK ABOUT  
13                  THIS STUFF.  WE DON'T HAVE ENOUGH MONEY TO CURE ONE  
14                  NEURODEGENERATIVE DISEASE.

15                  SO IT RAISES -- AND THIS IS NOT SO MUCH,  
16                  LARRY, ABOUT YOUR PROPOSAL IN TERMS OF SHOULD WE  
17                  LOOK AT THIS THING FIRST OR CREATE A GROUP TO LOOK  
18                  AT THIS THING FIRST.  SORT OF ZOOMING OUT A LITTLE  
19                  BIT, I'M STILL TRYING TO IMAGINE WHAT OUR PROCESS IS  
20                  AND HOW WE WEIGHT OR BALANCE SORT OF THE FIELD'S  
21                  READINESS TO PURSUE NEURO, EVERYTHING THAT IS NOW  
22                  PART OF THAT DEFINITION.  EACH OF THOSE INDICATIONS  
23                  HAS A FIELD, AND EACH OF THOSE FIELDS HAS A DEGREE  
24                  OF READINESS TO PURSUE STEM CELL AND GENE THERAPY.  
25                  AND CIRM CAN CERTAINLY BE A CATALYST FOR EXPLORATION

**BETH C. DRAIN, CA CSR NO. 7152**

1 INTO THOSE AREAS. BUT SOME OF THOSE SPACES MAY NOT  
2 BE READY. THERE MAY NOT BE THE DISEASE MODELING OR  
3 UNDERSTANDING OF THE MECHANISMS SUFFICIENT TO EVEN  
4 PURSUE GENE OR STEM CELL-BASED THERAPIES.

5 SO I'M WONDERING IF THERE'S ANY VALUE IN  
6 BUILDING THAT INTO THE CALCULUS AROUND HOW WE LOOK  
7 AT AND HOW WE PRIORITIZE THE DIFFERENT DISEASE  
8 GROUPS OR INVESTMENT OPPORTUNITIES BASED ON THE  
9 READINESS OF THAT DISEASE GROUP TO ACTUALLY PURSUE  
10 STEM CELL, GENE-BASED THERAPY DEVELOPMENT.  
11 HOPEFULLY THAT MADE A LITTLE SENSE.

12 CHAIRMAN GOLDSTEIN: YES, FRED. I THINK  
13 IT'S A VERY SENSIBLE COMMENT. IT'S A TOUGH AREA.  
14 IT'S GOING TO REQUIRE A LOT MORE MONEY THAN WE HAVE  
15 ON OUR OWN. AND SO WE'LL NEED TO FIGURE OUT  
16 COLLABORATIONS, OR WE'LL NEED TO FIGURE OUT WHAT CAN  
17 WE DO THAT WILL MAKE A DIFFERENCE THAT'S NOT BEING  
18 PURSUED BY A LOT OF OTHER GROUPS.

19 NOW, WE'RE GOING TO HAVE TO WRAP UP SOON,  
20 AND I DON'T WANT TO CUT ANYBODY OFF PREMATURELY. WE  
21 ALSO NEED SOME PUBLIC COMMENT. SO LET ME PROPOSE  
22 THE FOLLOWING. THIS IS AN EXTREMELY IMPORTANT  
23 CONVERSATION. I SUGGEST THAT WE PURSUE IT AT THE  
24 BEGINNING OF THE NEXT MEETING, BUT ALSO THAT IN THE  
25 INTERIM I ARRANGE FOR A COUPLE OF GUEST EXPERTS WHO

**BETH C. DRAIN, CA CSR NO. 7152**

1 WORK IN THIS AREA TO COME IN AND EDUCATE US A BIT  
2 ABOUT WHERE THINGS STAND AND WHERE WE MIGHT MAKE A  
3 DIFFERENCE. SO IF THERE IS NO MAJOR OBJECTION TO  
4 PROCEEDING IN THAT WAY, I'D LIKE TO TURN US TO  
5 PUBLIC COMMENT AND THEN TO ADJOURNMENT.

6 SEEING NO MAJOR OBJECTION, THAT'S GOOD.

7 MS. DEQUINA-VILLABLANCA: I DON'T SEE ANY,  
8 LARRY.

9 CHAIRMAN GOLDSTEIN: ANYTHING ON PUBLIC  
10 COMMENT, MARIANNE? OR IS THAT THE QUESTION YOU'RE  
11 ANSWERING?

12 MS. DEQUINA-VILLABLANCA: I DON'T SEE ANY  
13 PUBLIC COMMENT.

14 CHAIRMAN GOLDSTEIN: NO PUBLIC COMMENT.  
15 OKAY. SO WE HAVE ONE MINUTE UNTIL WE SHOULD BE  
16 ADJOURNING. SO WE'LL BEGIN OUR NEXT MEETING WITH A  
17 GENERAL DISCUSSION CONTINUING THIS TOPIC, BUT I WILL  
18 ALSO ARRANGE FOR A COUPLE OF EXPERTS IN AND AROUND  
19 THIS AREA OF NEUROPSYCHIATRIC TO COME IN AND EDUCATE  
20 US A BIT.

21 SO I THINK WITH THAT, WE ARE ADJOURNED.

22  
23  
24  
25

**BETH C. DRAIN, CA CSR NO. 7152**

(THE MEETING WAS THEN CONCLUDED AT 1:30 P.M.)

**REPORTER'S CERTIFICATE**

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE VIRTUAL PROCEEDINGS BEFORE THE TASK FORCE ON NEUROSCIENCE AND MEDICINE OF THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD ON FEBRUARY 21, 2021, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152  
133 HENNA COURT  
SANDPOINT, IDAHO  
(208) 920-3543